

UNITED STATES DEPARTMENT OF AGRICULTURE

+ + + + +

NATIONAL ADVISORY COMMITTEE ON

MEAT AND POULTRY INSPECTION

+ + + + +

PLENARY SESSION

+ + + + +

January 16, 2013

9:00 a.m.

Patriots Plaza III
355 E Street, S.W.
Washington, D.C.

CHAIR: MR. ALFRED V. ALMANZA
Administrator
Food Safety and Inspection Service

MODERATOR: MR. KEITH PAYNE
Deputy Director
Outreach and Partnership Division
Office of Outreach, Employee Education
and Training
Food Safety Inspection Service

COMMITTEE MEMBERS:

MS. PATRICIA K. BUCK
DR. FUR-CHI CHEN
MS. NANCY J. DONLEY
MS. VENERANDA GAPUD
MS. SHERIKA HARVEY
MS. SARAH A. KLEIN
DR. CAROL L. LORENZEN
DR. JOHN A. MARCY
DR. ROBERT REINHARD

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

COMMITTEE MEMBERS: (Continued)

DR. MICHAEL L. RYBOLT
DR. CRAIG SHULTZ
DR. JOHN TILDEN
MR. CHRISTOPHER A. WALDROP
MR. STEVEN WARSHAWER
MR. LEONARD WINCHESTER

FSIS:

MR. PHILIP DERFLER
DR. ELISABETH HAGEN
MS. MARY STANLEY
MR. JEREMY "TODD" REED
MR. CHRISTOPHER ALVARES
DR. WILLIAM SHAW

ALSO PARTICIPATING:

MR. TONY CORBO

I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Opening	6
Mr. Keith Payne Deputy Director Outreach and Partnership Division Office of Outreach, Employee Education and Training Food Safety and Inspection Service	
Welcome	7
Mr. Alfred V. Almanza Administrator Food Safety and Inspection Service	
Opening Remarks	9
Dr. Elisabeth Hagen Under Secretary for Food Safety United States Department of Agriculture	
Charge to the Committee and Rules of Order	15
Mr. Keith Payne Deputy Director Outreach and Partnership Division Office of Outreach, Employee Education and Training Food Safety and Inspection Service	
Introductions	19
BRIEFINGS ON BACKGROUND TOPICS	
Update on International Equivalence	23
Ms. Mary Stanley International Policy Division Office of Policy and Program Development Food Safety and Inspection Service	
Questions and Answers	29

I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Update on Public Posting of Establishment Level Data	51
Mr. Christopher Alvares Director Data Analysis and Integration Group Office of Data Integration and Food Protection Food Safety and Inspection Service	
Questions and Answers	59
ISSUES FOR THE CURRENT MEETING	
Strengthening Verification of Sanitary Dressing and Antimicrobial Interventions For Veal Slaughter - Considering the Unique Circumstances	70
Dr. William Shaw Director Risk, Innovations and Management Division Office of Policy and Program Development Food Safety and Inspection Service	
Questions and Answers	83
Review of Criteria for Categorizing Public Health Related Regulations	110
Mr. Christopher Alvares Director Data Analysis and Integration Group Office of Data Integration and Food Protection Food Safety and Inspection Service	
Questions and Answers	135
Meet to Review Charges to Subcommittees, Then Move to Subcommittee Deliberations	149
Wrap up	149

I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Public Comment	
Mr. Tony Corbo Food & Water Watch	152
Dr. Craig Shultz Pennsylvania Department of Agriculture	157
Ms. Nancy J. Donley STOP Foodborne Illness	158 168
Ms. Patricia K. Buck Center for Foodborne Illness Research and Prevention	160
Ms. Veneranda Gapud Process Management Consulting	161
Mr. Steven Warshawer Mesa Top Farm	162 167
Ms. Sarah A. Klein Center for Science in the Public Interest	163
Adjourn	170
Mr. Keith Payne Deputy Director Outreach and Partnership Division Office of Outreach, Employee Education and Training Food Safety and Inspection Se	

1 P-R-O-C-E-E-D-I-N-G-S

2 (9:00 a.m.)

3 MR. PAYNE: Could I have everyone's
4 attention? It's 9:00 now. We'll go ahead and get
5 started with our meeting.

6 Well, good morning again and welcome for
7 being here in D.C. on this nice rainy day. And I
8 understand there are delays on the red line, so we
9 do have some folks who will be probably joining us a
10 bit later.

11 But my name is Keith Payne. I'm with the
12 Office of Outreach, Employee Education and
13 Training's Outreach and Partnership Division, and
14 I'll be the moderator for the National Advisory
15 Committee Meat and Poultry Inspection meeting today
16 and tomorrow.

17 What I'd like to do first is turn over the
18 meeting to our Committee Chair, Mr. Al Almanza, the
19 Administrator of FSIS, who will give a welcome to
20 you. And then after that, we'll have
21 Dr. Elisabeth Hagen, our Under Secretary for Food
22 Safety from the U.S. Department of Agriculture, give

1 some opening remarks.

2 So with that said, I'll turn it over to
3 Mr. Almanza for a welcome.

4 MR. ALMANZA: All right. Well, good
5 morning. I want to thank everybody for being here,
6 all the new members and as well as all the old
7 members, as well.

8 Boy, it's been a while, Art, hasn't it?
9 Good to see you, yeah.

10 And so the discussions that you have here
11 are really valuable to us and are always beneficial
12 to bring new perspectives into the conversation.

13 Man, we have so many gadgets here, it makes
14 me a little bit nervous that I'm going to knock
15 something over, somebody's iPhone.

16 But I think that that's the beauty in these
17 and that's one of the things that Dr. Hagen and I
18 kind of miss about having regular meetings, is the
19 discussions that occur and the things that this
20 Committee brings to us and the ability to make
21 decisions in the Agency. And you helped us, this
22 Committee has helped us work through major issues

1 such as HACCP validation, making better use of the
2 data we collect, and with pre-harvest interventions.

3 So the one thing that we can count on is
4 that everybody's not going to agree. But that's not
5 what this is about, right? It's not about everybody
6 agreeing. It's about having an open and honest
7 discussion of the important questions that we bring
8 to you. That discussion will help us in our
9 decision making, and it also will help the public
10 and other stakeholders come to a better
11 understanding of these complex problems.

12 So today we have a number of important
13 topics to cover, over the next 2 days. In addition
14 to the issues, we're also asking for your input on
15 some other things which Dr. Hagen will speak to us
16 in a few minutes about. We're also going to provide
17 you with an update on international equivalence and
18 the public posting at the establishment level with
19 their data. These are both very important topics
20 for the Agency.

21 And I want to thank Todd Reed and
22 Mary Stanley for presenting them. I also want to

1 thank our Office of Outreach, Employee Education and
2 Training, Michael and your staff, for coordinating
3 this meeting and handling the logistics.

4 So again, I want to welcome you and thank
5 each one of you for being a part of this, and I'm
6 looking forward to a productive 2013.

7 With that, Dr. Hagen, your turn.

8 DR. HAGEN: Good morning and thank you for
9 coming. Thank you for being part of this.

10 As Al said, it's always good to see some
11 old faces that I haven't -- it's not old faces,
12 right? That's terrible.

13 (Laughter.)

14 DR. HAGEN: So old friends. No, that's not
15 good either. Some familiar faces. And some new
16 folks here with us. It's good to get a new mix.

17 I will say that I think the Advisory
18 Committee process, it can be -- I know it can be
19 painful, right, the application process and the
20 waiting and the selection and all that kind of
21 stuff. And as Al said, not everybody always agrees.
22 But I think the process and the concept itself is a

1 real gift. I think it's a gift to us as we make
2 public policy. It's a gift to us, those of us who
3 engage in the crafting of policy and the consumers
4 that we all work for, who benefit from our public
5 policy. It's to get the opportunity to have this
6 diversity of perspectives and to be able to step
7 outside of our own way of thinking.

8 You know, things can get a little bit
9 insular and it's really good to be able to step
10 outside of the way that we're viewing something and
11 to hear what a diverse group of people has to say
12 about where we should be headed. So I really think
13 that it's a gift to us and I appreciate you all
14 being a part of this.

15 And I know the Secretary really appreciates
16 it as well. He's taken a great interest in the
17 entire Advisory Committee structure, you know,
18 wanting to make sure that the committees that we do
19 have are truly functional and really informing very
20 important decisions that we make, not just in food
21 safety, but across the various missions at USDA. So
22 I know he really appreciates the work that you're

1 doing here as well.

2 So collaboration. It's not just a talking
3 point for us. Everybody in government talks a lot
4 about the need for collaboration. We really believe
5 that it matters, for all the reasons I just told
6 you. And I think if you look at our strategic plan
7 and you see that we've kind of staked it out there,
8 too, and said how important it is to us, when you
9 put it in a plan and when you make corporate
10 measures that you're going to hold yourselves
11 accountable to, whether you succeed or fail, you're
12 telling the world that it's important to you. So
13 this is part of what we would call enhanced
14 collaboration with external stakeholders. That's
15 what you'll find in the strategic plan.

16 So as Al has already alluded to, there are
17 no easy answers to complex problems. We deal with
18 that every day and we know that well. That's why we
19 need your help to think through some of these
20 complex issues. Over the next couple of days you're
21 going to consider two very important topics. We're
22 going to run through some things that you've heard

1 about before, and there are a couple of key
2 questions we're going to ask you to turn your
3 attention to.

4 Anybody who's paid attention to what we
5 have been up to for the last couple years, or what
6 we've been saying for the last couple years, as
7 you've heard me talk a lot about prevention, as
8 you've heard me talk a lot about data and the
9 importance of data, how we make better use of data,
10 how we develop policy that's based on good, sound
11 scientific data.

12 So as you all know, the data that our
13 inspectors gather in the field is a tremendous
14 resource. Advancements in the collection and the
15 use of this data has the potential to help us devise
16 new innovative and preventive-based policies.

17 So now that PHIS has really been
18 implemented, the Agency has a more comprehensive
19 and, I think, complete picture of the work that our
20 inspectors are doing at establishments. Collecting
21 the data is just the first step. So the new
22 challenge is really determining how we use it

1 effectively to identify problems in the
2 establishments and prevent contamination and
3 illness.

4 So in addition to helping us consider how
5 we make better use of our data, you're also going to
6 help us address the issue of the high percentage of
7 positives for non-O157 STECs in veal establishments.

8 As you all know, we began our verification
9 testing for six of the non-O157 STEC sera groups in
10 June of last year, and this testing has found a
11 higher percent of positives for veal than for other
12 slaughter classes. So we've set out, as an Agency,
13 to learn more about this trend. Through our food
14 safety assessments and our on-site visits, we found
15 several common deficiencies in the areas of sanitary
16 dressing and antimicrobial interventions in veal
17 slaughter establishments.

18 So today I'd like you to discuss how to
19 correct those deficiencies and also how we
20 communicate those changes to establishments, many of
21 which are small or very small.

22 So over the past few years we've made a lot

1 of significant progress in implementing these
2 prevention-based policies, among them, you all know,
3 as I just mentioned, zero tolerance policy for raw
4 beef products with the six non-O157 STECs; tougher
5 performance standards for *Salmonella* and for
6 *Campylobacter* in poultry; testing of additional
7 components in our ground beef program; certainly a
8 test-and-hold program. These are just a few that
9 people are familiar with.

10 I'm proud of this work that we've done and
11 I certainly think that we have a lot more to do.
12 You hear me say it all the time. When it comes to
13 preventing food-borne illness, we all have a role to
14 play. Producers, packers, processors, consumers,
15 educators, communicators, everybody's got a role to
16 play. And we might not always agree on how we best
17 solve these solutions, but I think we all agree that
18 we need to do better than we've done before, and we
19 all benefit by getting together and discussing new
20 and more innovative ways to approach these problems.

21 So with that, I want to thank you again for
22 giving your time, for being willing to be part of

1 this, and just know that it matters. People rely on
2 the work that we do to keep them safe, to keep their
3 families safe, and the work that we do is certainly
4 supported by the work that you all are doing here
5 today.

6 So I wish you all a very productive
7 meeting. Al and I can't stay for much of the
8 morning today, but you'll be here for 2 days and
9 hopefully I'll be able to come back and visit with
10 you all and just eavesdrop a little bit on what
11 you're doing and what your deliberations are.

12 So thank you very much. And I look forward
13 to working with all of you.

14 MR. PAYNE: Thank you, Dr. Hagen and
15 Mr. Almanza.

16 And now I'd like to go over just some
17 housekeeping measures for the meeting here. First
18 of all, we have the staff, most of the staff from
19 our Outreach and Partnership Division here to assist
20 you, and I'd like to introduce them.

21 Ms. Sally Fernandez. I don't know if she's
22 here in the room or outside. Dr. Jane Johnson and

1 Ms. Lindia Howell, who are at the desk, registration
2 desk on the outside there.

3 And by the way, anyone who would like to
4 make a comment during the public comment period,
5 please sign up there at the registration desk.

6 Ms. Natasha Williams, who is standing up
7 right here. Ms. Beatrice Herbert, here in the back.
8 Ms. Diane Jones. She may be outside.
9 Commander Jeff Tarrant. There's Diane over there.
10 Jeff Tarrant. And Ms. Sujin Park and Ms. Songhee
11 Lee, who I believe are outside.

12 If you have any questions about where to
13 go, the most important thing, the restrooms, it can
14 be a bit convoluted here, but there are restrooms
15 here on this first floor. And I understand, I found
16 out this morning, that whoever decided to start
17 painting today in the hallway over there, it was
18 unbeknownst to us, so we do apologize for that.
19 We're trying to cease that operation, so it's not
20 going to hinder progress to the restroom.

21 There are restaurants in the area, so
22 during the lunch break, please feel free to ask our

1 staff here on recommendations. I know for the
2 Committee members, I believe, in your binders there
3 is a list of places for a quick bite to eat.

4 And if there's anything else you need,
5 please ask them, ask us for help and assistance. We
6 work here in the building, we know the area, we know
7 the building pretty well, so we're here to assist
8 and make you feel as much at home as possible, away
9 from your home.

10 And now I'd like to introduce first, before
11 we go around the table, is introduce the ex officio
12 members of the Committee. And starting over here to
13 my right is Dr. Arthur Liang from the U.S. Centers
14 for Disease Control and Prevention.
15 Dr. Liang is the senior advisor for food safety in
16 the Division of Foodborne, Waterborne and
17 Environmental Diseases. That's within the National
18 Center of Emerging and Zoonotic Infectious Diseases
19 of the CDC.

20 We just found out, we were going to have
21 Dr. Jeff Farrar from the Food and Drug
22 Administration, but just found out recently that he

1 could not make it. He's our other ex officio
2 member. Dr. Farrar is the Associate Commissioner
3 for Food Protection in the FDA, where he oversees
4 and coordinates various efforts in the Office for
5 Food Safety.

6 And then over here, she may rise,
7 Dr. Danah Vetter. She's representing the National
8 Association of Federal Veterinarians. And sitting
9 next to her, to her right, is Mr. Justin Rhee. He's
10 representing the Asian Pacific American Network in
11 Agriculture.

12 We're very pleased to have you all with us.

13 Now for the operation of the microphones on
14 the table. A very simple procedure. There's a
15 button, should be a button in the middle. The red
16 light means you're simply muted. But when you need
17 to speak, just push the button, it turns green, that
18 allows you to talk, at least to be heard across the
19 whole room.

20 And so if we may start, for the record,
21 just to start from my left here, just announce your
22 name and affiliation, going around the table. And

1 we get to practice using the -- here we go. And I
2 think the button is on the front side of the --

3 DR. HAGEN: Hello. Good morning.
4 Elisabeth Hagen. I'm the Under Secretary for Food
5 Safety and USDA.

6 MR. ALMANZA: Al Almanza, Administrator for
7 FSIS.

8 MS. HARVEY: Good morning, everyone.
9 Sherika Harvey from Mississippi. I'm a consumer
10 safety inspector with the Mississippi Department of
11 Ag and Commerce. And nice to meet all of you.

12 MR. WINCHESTER: Leonard Winchester, Local
13 Public Health, Seattle, King County, Washington
14 State.

15 MS. GAPUD: Veneranda Gapud, aka Veny
16 Gapud, with Process Management Consulting.

17 MR. WARSHAWER: Steve Warshawer. I farm
18 and ranch in New Mexico at Mesa Top Farm, and I'm
19 also the food safety coordinator for the National
20 Good Food Network of the Wallace Center at Winrock
21 International, based here in D.C.

22 MS. KLEIN: I'm Sarah Klein. I'm a senior

1 food safety attorney at the Center for Science in
2 the Public Interest.

3 DR. REINHARD: I'm Bob Reinhard. I'm Vice
4 President of Food Safety for Hillshire Brands
5 Corporation.

6 MR. WALDROP: Chris Waldrop, Director of
7 Food Policy at Consumer Federation of America.

8 DR. CHEN: Fur-Chi Chen of Tennessee State
9 University.

10 MS. BUCK: Patricia Buck from the Center
11 for Foodborne Illness Research and Prevention.

12 DR. TILDEN: John Tilden, Michigan
13 Department of Ag and Rural Development.

14 DR. MARCY: John Marcy, a professor at the
15 University of Arkansas Center of Excellence for
16 Poultry Science.

17 DR. RYBOLT: Mike Rybolt, Director of Food
18 Safety and Quality, Hillshire Brands.

19 MS. DONLEY: Nancy Donley from STOP
20 Foodborne Illness, formerly Safe Tables Our
21 Priority.

22 DR. SHULTZ: Craig Shultz. I'm the

1 Director of the Bureau of Animal Health and
2 Diagnostic Services, Pennsylvania Department of
3 Agriculture, and I was a public health veterinarian
4 in the FSIS field for 17 years.

5 DR. LORENZEN: Carol Lorenzen, a professor
6 at the University of Missouri, and I have a
7 teaching, research and extension appointment, and I
8 work with small and very small processors and meat
9 sites.

10 DR. LIANG: Art Liang, CDC, Atlanta.

11 MR. PAYNE: Okay, thank you. Now we've
12 hopefully learned how to use the microphones.

13 And just for the new members, this is to
14 the Committee. The way when we get into the
15 discourse of comments among the Committee, when you
16 want to make a comment, simply turn your tent card
17 upright and I'll make note of it, to keep the order
18 of the comments. And when you're done you can just
19 simply put them back down in their location.

20 We do have a couple brief updates, as
21 Mr. Almanza had mentioned this morning, and with
22 some short questions and answers afterward. And

1 then we have the two issues to put forth to the
2 Committee.

3 All questions should be directed to the
4 Chair of this Committee and that is FSIS
5 Administrator Mr. Al Almanza, or his designee if he
6 is not present here. So any requests for
7 announcements to make or to put anything out on the
8 table, please go through the Chair of the Committee
9 or his designee.

10 During the public comment periods that we
11 have today and tomorrow, again, I would like to
12 encourage anyone who wants to make a public comment
13 to sign up outside so we have you on the list.
14 We'll go down through the list in the order in which
15 they're listed. And depending on the time, we may
16 have to limit the time for comment to about a few
17 minutes, but we'll gauge that as we go along, and we
18 want to make sure that everybody has a fair shake at
19 making a comment.

20 So with that said, I think I've covered
21 basically most of the housekeeping measures. And
22 just one final reminder. If there are any cell

1 phones, please turn them on mute or vibrate, so that
2 it's not disturbing the meeting progress.

3 We'll start with our first update from
4 Ms. Mary Stanley, who's the Director of the
5 International Policy Division within the Office of
6 Policy and Program Development of FSIS. She's going
7 to give an Update on International Equivalence.

8 And Mary, I think we can get your
9 presentation up here.

10 MS. STANLEY: Okay, thank you, Keith.

11 And it's a pleasure to have this
12 opportunity to update you on recommendations that
13 were made from this Committee from discussions, a 2-
14 day discussion on international equivalence, as far
15 back as 2008.

16 So I would assume some of you were not
17 involved in the Committee at that time. But what we
18 have done is taken into consideration the
19 recommendations that were made. And so I will
20 update that.

21 During the meeting in 2008, we requested
22 guidance on whether the elements of the triad of

1 protection should be changed, and whether regulatory
2 information and compliance history from the foreign
3 countries should affect the audits and the
4 reinspection activities at the port of entry in the
5 United States; and as well, whether the scope and
6 frequency of the on-site audits and the port of
7 entry reinspections should be adjusted based on the
8 capability of a country to share their regulatory
9 information as well as their compliance history.

10 And those of you that may not be familiar
11 with the triad of protection, that essentially is
12 the approach that FSIS has historically taken for
13 many years, dating as far back as the 1980s. And
14 it's taking into account document analysis, the
15 information on the verification activities from on-
16 site audits, as well as the information from
17 verification activities from port of entry
18 reinspection.

19 And the Committee did recommend that FSIS
20 should maintain that three-part approach to
21 equivalence. And the Committee also directed the
22 Agency -- that FSIS should direct the Agency

1 resources according to relative risks and historical
2 compliance that each of the eligible foreign food
3 regulatory systems have.

4 And so over the past couple years, FSIS has
5 been very active in developing a performance-based
6 approach for determining the scope and frequency of
7 on-site audits as well as how we approach our port
8 of entry inspection.

9 One of the other recommendations that the
10 Committee made to us was standardization of its
11 methods for collecting information from foreign
12 governments. And so the Agency has developed what
13 we are calling a self-reporting tool, which is a
14 system that is designed to collect the information
15 that the countries provide in relationship to their
16 equivalence determinations. This starts at the
17 initial equivalence, but it's also an ongoing
18 equivalence.

19 And historically, we had approached
20 equivalence with five risk areas. So with the
21 updating of the self-reporting tool, we now comprise
22 this or organize the information in six components,

1 and those are the government oversight, the
2 statutory authority, and the food safety regulations
3 that are in place in the foreign countries,
4 sanitation controls, their HACCP systems, and then
5 their chemical residue and microbiological testing
6 programs that are in place.

7 This self-reporting tool is organized in
8 three components and so that it's organized so that
9 we have the component authority module, which
10 collects the information in regards to outlining the
11 country's system. The second module is a laboratory
12 module, which collects the information in regards to
13 their laboratory profiles, that conduct the analysis
14 in the foreign country. And then the third module
15 is set up to capture the establishment profiles for
16 the foreign countries.

17 And this self-reporting tool provides the
18 criteria in which we're making the assessment for
19 equivalence. And then it also provides the
20 ability -- we can take many modules and push those
21 out to the foreign countries, so when policies
22 change in the United States, we can collect the

1 information very quickly. And then as information
2 changes with the foreign inspection systems, they're
3 able to update this information to us.

4 So another recommendation that was made is
5 specific elements incorporating the ongoing
6 verification activities that are important for the
7 success of this three-tiered approach.

8 So in relationship to the document reviews,
9 we have developed a system that is going to assist
10 us in regards to assessing the exporting country's
11 ongoing ability and willingness to share data. So
12 as I indicated, the self-reporting tool provides a
13 vehicle in which the countries can transmit that
14 information and we can house it.

15 But there's also a very important
16 assessment that needs to be done, that we have
17 developed a tool that is effectively called a level
18 of advancement. And so that provides the ability,
19 and it's designed in order for us to analyze the
20 information as well as quantify the level of which
21 the country is in compliance with our regulations.

22 The second area for the three-tiered

1 approach is in the area of audits. And in this area
2 we standardized the application of the audit
3 criteria and as well have established a mechanism to
4 collect the historical information and evaluate that
5 in association with the exporting country's audits.

6 And so what we have developed in this area
7 is a component analysis and verification format,
8 which is providing a systematic way of analyzing and
9 verifying the principal components, the six
10 components that I had outlined earlier, in regard to
11 the foreign inspection system. And it also provides
12 a new mechanism in which we can document those
13 verification findings, which drives the end results
14 of the outcomes, which is in a report from the audit
15 activity that we have conducted, which is made
16 publicly available.

17 And then, as well, another recommendation
18 was for port of entry reinspections, is to target
19 the sampling of high-risk products and high-risk
20 imports. And that recommendation has been embedded
21 in the updating of the Public Health Information
22 System and the release of the import functions this

1 past May.

2 So as an Agency, we have developed a
3 *Federal Register* notice that's outlining this new
4 approach. And it is summarizing in a bit more
5 detail, in regards to what the Agency is doing in
6 this area. And that is currently in clearance and
7 should be posted.

8 So if there are any questions or comments?

9 MR. PAYNE: Yeah, John Tilden's got a
10 question.

11 DR. TILDEN: Can you help me understand the
12 difference between a performance-based approach
13 versus a risk-based approach? How are they similar
14 or different?

15 MS. STANLEY: Well, the performance-
16 based -- yeah, sorry -- the performance-based
17 approach is based on the activities that are ongoing
18 in the foreign country, in regards to their controls
19 and how they meet the criteria. You know, taking,
20 for example, the zero tolerance for *Listeria*. So we
21 assess the performance of their system to be able to
22 manage that and then factor that into the analysis

1 that we do. And that will drive, you know, how much
2 testing we would do at the border, as opposed to --
3 and whether or not we feel a need to go into the
4 foreign country to do an on-site audit and verify
5 their controls.

6 MR. PAYNE: Mr. Waldrop, you're next.

7 MR. WALDROP: Thanks.

8 I wasn't on the Committee when this was
9 discussed, so this is my first time coming to the
10 Committee. So since I wasn't part of that
11 discussion, I was a little surprised to learn that
12 FSIS had made a lot of these changes prior to sort
13 of post getting input from the Committee, but prior
14 to any kind of *Federal Register* notice or public
15 input or public comment.

16 And we saw that some countries, FSIS was
17 determining that they wouldn't go and do in-country
18 audits for 3 years and it wasn't really clear how
19 those determinations were being made or what the
20 basis of that was, and considering the importance of
21 actually going into countries, seeing that the self-
22 reporting that they're doing is accurate and valid

1 and you can verify that what they're saying they're
2 doing is what they're actually doing.

3 When you do *Federal Register* notice, are
4 you going to explain how FSIS will be making those
5 decisions in the future, in terms of how often
6 you'll be going into a country and how many times
7 you'll be doing those sorts of in-country audits?
8 Will that all be explained and described in the
9 *Federal Register* notice?

10 MS. STANLEY: Do you want me to --

11 MR. ALMANZA: Go ahead.

12 MS. STANLEY: Okay. Yes, but perhaps not
13 to the level of in-depth discussion that you seem to
14 be seeking at this point.

15 And there is a companion document that
16 will -- it's a performance-based audit and port of
17 entry explanation that goes into far more detail,
18 that will be available, that is linked with the
19 *Federal Register* notice when that comes out.

20 MR. WALDROP: Okay, thanks.

21 MR. PAYNE: Okay, thank you.

22 Ms. Klein and then Ms. Donley.

1 MS. STANLEY: I think that --

2 MR. PAYNE: I'm sorry.

3 MS. STANLEY: -- Al wanted to follow up.

4 MR. ALMANZA: Yeah. But I mean, I think
5 that some of the questions that you're asking are
6 very relevant, I mean, because I do understand, not
7 only for members that weren't part of the Committee,
8 but for just the public in general, to kind of
9 understand how we got to where we are. And I think
10 that that's very critical to getting people to
11 understand, okay, yeah, these decisions were made,
12 but why were they made?

13 And so yeah, I'm for including some of that
14 and also explaining exactly why some country -- we
15 may be in some countries every single year, I mean,
16 but it's going to be based on their history, based
17 on the products that they're exporting to the United
18 States. So it's not going to be just a uniform
19 we'll be there every 3 years, but I think that we
20 need to explain that in the *Federal Register* notice.

21 But obviously we're interested in the
22 comments that you provide us, so that we can address

1 those and so that everybody will have a comfort
2 level with what it is that our expectations will be.

3 MR. WALDROP: I think it's a matter of
4 understanding your thinking and your reasons and
5 rationale for doing it and what data you're going to
6 be relying on to be able to make those decisions. I
7 think that's the sort of stuff that I think the
8 public needs to understand so that they can know
9 that we'll go into this country once a year, we'll
10 go into this country every 2 years, and what is the
11 reason and the data and the analysis that helps back
12 that up.

13 MS. KLEIN: Thanks. I have a question and
14 I move for the Administrator's point of order.

15 So the first is a little clarification on
16 -- I know that there's the in-country audit system.
17 But then, when we have a particular plant in a
18 foreign country that is found to be in violation, is
19 there an automatic on-site revisit? So not just
20 self-reporting after an identified problem is what
21 I'm trying to figure out.

22 MS. STANLEY: I would avoid the use of the

1 word automatic. Certainly there would be situations
2 where the -- there may be a situation where we would
3 need to go back in. But keep in mind, the FSIS
4 approach is a systems approach. And so we're
5 evaluating the system and the controls of that
6 national food safety system and the regulators in
7 that country and their ability to deliver the
8 program that we found to be equivalent to ours.

9 And so if we find, either through audit
10 process or through port of entry violations,
11 noncompliance, the expectation is an immediate
12 communication to that foreign government and then
13 they take the corrective actions that are necessary.
14 And then we would verify that either through
15 document review or, if warranted, it may trigger an
16 on-site audit to verify it, depending on the
17 situation.

18 MS. KLEIN: Okay. Just a point of order.
19 Is it appropriate to recognize members of the public
20 to ask questions? Is that allowed as part of the
21 Committee deliberations?

22 MR. ALMANZA: Yeah.

1 MS. KLEIN: Yeah?

2 MR. ALMANZA: Yeah. I don't know if --

3 MS. KLEIN: Well, I just wasn't sure if
4 there were specific questions. I did want to kind
5 of draw people's attention to some specific
6 information that was released yesterday from Food &
7 Water Watch, that highlighted specific instances
8 where this process seems to be falling short. I
9 didn't know if Tony wanted to ask a specific
10 question about that, but I wanted to give him the
11 opportunity to do so.

12 MR. CORBO: Tony Corbo from Food & Water
13 Watch.

14 I was designated to fill in, in 2008,
15 parallel on that Committee. On this Committee. And
16 never in my wildest dreams did I think that FSIS was
17 going to scale back its international program, which
18 consumer groups have held up as a model for FDA.

19 So instead of bringing the FDA up to the
20 FSIS level, we seem to be going toward the FDA
21 model, where we're going to let countries escape for
22 periods of time.

1 We released some information yesterday of
2 three countries -- two countries where audit reports
3 were left off of the country audit reports that had
4 been posted, one for Australia and two for Canada.

5 In the Australian audit, the auditor in
6 2011 visited a plant that was part of a pilot
7 project to privatize inspection and your auditor,
8 aside from the fact that the plant employees who
9 were doing the inspection were part of a profit-
10 sharing plan that the company was using to pay,
11 which your auditor said pointed to a conflict of
12 interest. But in the audit report that you posted
13 for us that year said Canada continues to be a
14 problem.

15 In 2006, the USDA Inspector General
16 criticized the Agency in the way it was enforcing
17 its equivalency standards on Canada. So what do you
18 do? In 2009 you audit Canada. You don't go back to
19 Canada until last year and it happened to coincide
20 with one of the largest recall in the beef markets,
21 a beef recall. Canada has a number of experiences.
22 It also spilled over to us. And the plant that was

1 involved in that recall was the XL Plant 38. In
2 2009 you found major problems there. You did not go
3 back until 2012. And the audit points out the fact
4 that a 2009 audit of that plant pointed out some of
5 the same issues, some of the same issues that the
6 Canadian Food Inspection Agency cited in its
7 internal review after the 2012 recall.

8 And then we're going to eliminate the
9 border inspection program for meat coming in from
10 Canada. You're involved in discussions with the
11 Canadian government to eliminate border inspection
12 and you want to do a pilot project.

13 So one of the plants involved in the pilot
14 project is Maple Leaf Foods Plant Number 1 in
15 Canada. In 2009, there were major issues, major
16 food safety issues, in addition to the lack of -- in
17 that plant, and you guys decided to take that plant
18 as your model.

19 Excuse me. You're trying to deregulate the
20 food inspection process for imports, just as you're
21 trying to deregulate inspection -- and I really
22 object to what's going on.

1 One other point. Mary, I want to ask you a
2 question. How long have you been working in the
3 policy division?

4 MS. STANLEY: How long?

5 MR. CORBO: How long have you been not in
6 OIA, but in the policy division?

7 MS. STANLEY: Since about 2009.

8 MR. CORBO: 2009. So the reorganization of
9 OIA started in 2009. All right, thank you.

10 MR. PAYNE: I'm sure there was a question.

11 MS. STANLEY: Okay.

12 MR. PAYNE: Ms. Donley, you're next.

13 MS. DONLEY: I actually have a couple
14 questions. When this was discussed back in 2008,
15 was there any -- was it recommended and/or is FSIS
16 doing any weighting of the various elements, the
17 three, the document reviews, audits, and port of
18 entry reinspections? Is that being weighted in any
19 way, as far as determining equivalency?

20 MS. STANLEY: The short answer is no. But
21 the details of how we're approaching the analysis is
22 outlined in the paper. So I'm not really prepared

1 to really go into the details at this point, but
2 they all are equally important, in regard to making
3 sure that the documents and all the results are
4 factored in.

5 MS. DONLEY: Okay. And then this kind of
6 dovetails to what Sarah was asking about, if I
7 understood Sarah's question. And I've written down,
8 is there anything in the program was similar to
9 trigger a food safety assessment, you know, that is
10 in the domestic arena, that there would be some
11 event that would automatically trigger FSIS to
12 conduct additional audits or whatever is necessary?

13 MS. STANLEY: Well, that is factored in, in
14 regard to the level of advancement and the tiers
15 that we have built into that, in regard to how well
16 the plant -- excuse me -- the foreign inspection
17 system is performing. And so that is modeled after
18 the same principles within the food safety
19 assessments that we use. And so that would feed in,
20 in regard to the decision making, to whether or not
21 we go on site or if there's an alternative mechanism
22 to look at the noncompliances and the corrective

1 actions.

2 MS. DONLEY: Okay. Then my last question
3 is, is back in 2008 -- and I'm grasping for -- the
4 USDA, they're changing where point of entries,
5 they're not -- what's that program? The
6 international North -- you know, they're talking
7 with Canada and with Mexico about not having
8 inspections at the borders, the inspections. Do you
9 know what I'm talking about? The White House,
10 whatever they put together.

11 How does that figure into what you came up
12 with back in 2008? That program, particularly with
13 point of entry, there are going to be things where
14 they get well into the -- this is my understanding
15 of this program, which I cannot for the life of me
16 remember the name of it -- where inspections are not
17 going to be at the border or point of entry. It's
18 going to be deeper into the distribution system.

19 Has FSIS kind of had any different
20 discussions about point of entry inspections, where
21 are they going to occur and how is this going to
22 factor in on making these assessments about

1 countries? Because it's going to be further down
2 the pike. And I don't know. It's a concern that I
3 have because we're not going to be catching -- the
4 point of entry inspections are going to be deeper
5 into the distribution system and production system
6 in the United States.

7 MS. STANLEY: I believe what you're
8 referring to is the pilot program that Tony
9 mentioned, in regard to beyond the border
10 initiatives.

11 MS. DONLEY: Right, right.

12 MS. STANLEY: And certainly no decisions
13 have been made and the pilot has not been initiated.
14 And at this point, in-port establishments are
15 located in close proximity to the ports of entry or
16 the border crossings and the inspection activities
17 are conducted there and through dedicated FSIS
18 employees that are staffed.

19 MS. DONLEY: Okay. And I'm sorry, I missed
20 that point about Tony bringing that up. I must've
21 blanked out.

22 MR. PAYNE: Okay, we have a comment from

1 Ms. Buck and Mr. Reinhard and Mr. Warshawer, to
2 round out this session here.

3 Ms. Buck?

4 MS. BUCK: My question is a little more
5 specific and I don't know if you can respond to it
6 right now. But I'm just a little bit concerned
7 about our foreign equivalencies, okay? And I found
8 that very surprising, that there is a Canadian beef
9 establishment, from what I understand, that is now
10 allowed to run a ham-style inspection. And
11 evidently, again, from what I understand, that was
12 predicated on a pilot study on pork.

13 How does FSIS go about determining how they
14 will design something to be equivalent in a foreign
15 country that we have not particularly accepted, such
16 as ham-style inspections for beef in this country?
17 I'm just a little confused as to what was the
18 justification.

19 MR. ALMANZA: There were a number of things
20 that occurred, Pat. So to determine equivalence
21 doesn't necessarily mean that it has to mirror
22 exactly what is done in the United States. Their

1 system has to be equivalent.

2 So it isn't that it has to be exactly as we
3 do it in the United States. In fact, there aren't a
4 whole lot of other countries that do it exactly like
5 we do it in the United States. But the equivalence
6 process, that's how they supervise, how they oversee
7 the application of regulations, how they -- in the
8 process of their inspection, how it is equivalent to
9 what our expectations are domestically.

10 So it doesn't have to be exactly as we do
11 it here, but it has to be equivalent to what our
12 system is. And it's not proscriptive in how we
13 determine their equivalence being exactly like ours,
14 but it has to be determined to be -- the system has
15 to be -- we make that determination.

16 MS. BUCK: That's helpful, Al, but you can
17 understand my concern.

18 MR. ALMANZA: Yeah.

19 MS. BUCK: Because when I look at the food
20 safety systems throughout the world, I know that the
21 United States has its share of problems, but we
22 still are the country that is helping to set the

1 standard.

2 So if we have not found something or proven
3 something to be, let's say, acceptable as a way of
4 doing business with slaughter, or with any other
5 food, but it's just I find it curious that we would
6 accept it from a country who has shown some proven
7 food safety deficiencies, as far as I'm concerned,
8 you know.

9 So I just wonder, is there a criteria that
10 FSIS has set for establishing that equivalency? I
11 realize there's always going to be differences and
12 they're never going to do it exactly the way we do
13 it. But surely we must have a broad set of they
14 must have this, this, this, this and this.

15 And I would think there would be an amount
16 of skepticism if a company from a foreign country
17 was going to use an inspection style that we had not
18 validated for use here in the United States. I
19 caution FSIS to move too rapidly on things like
20 that.

21 Thank you.

22 MR. PAYNE: Mr. Reinhard?

1 MR. REINHARD: I have just two quick
2 comments. And first of all, Mary, I want to thank
3 you. I do think it is great that FSIS comes back to
4 the Committee with a topic that came up several
5 years ago and updates. I think that's a great way
6 to go about it to make sure we continue to move
7 forward. And I know a lot of things came up in
8 those years and in that time and sometimes, you
9 know, remembering and getting back to it is
10 difficult, but it's really appreciated.

11 And then my two comments, just for everyone
12 on the Committee. First of all, I commend FSIS,
13 because it was said, and maybe not directly enough,
14 that you are the model when it comes to
15 international equivalency and how you handle trading
16 partners and imported product in the United States
17 and what we go about to make sure that they are
18 meeting our standards. And other countries and
19 other agencies throughout the country try to model
20 what you do. And so you should be commended for
21 that.

22 My second comment is more for education of

1 Committee members, and that is that it isn't a one-
2 legged regulatory stool that FSIS does for
3 international equivalency. So if you are an
4 importer or if you are a manufacturer of imported
5 products, a further processor of importer products,
6 there are other regulatory burdens that play a part
7 in how that goes.

8 And so while international equivalency is
9 one broad part of the process, there are very
10 specific requirements on anyone who is importing
11 product or anyone who is further processing or
12 manufacturing imported products that are a critical
13 part of the food safety system.

14 And so while there are challenges with all
15 things, it isn't one-legged. And I just wanted
16 everybody to know that it is very, very actually
17 good, the way the systems work together and how the
18 process is.

19 MR. PAYNE: Mr. Warshawer.

20 MR. WARSHAWER: I was not part of the
21 Committee back when this question was brought, and I
22 really appreciate it coming forward. But that also

1 means I may be somewhat ignorant of how the
2 questions were addressed previously. My immediate
3 impression is that what you're describing is a way
4 of auditing each country as a system and based on
5 that audit, making determinations as to how to
6 proceed in that country with further verification.

7 I think there are some globally accepted
8 standards as to how to audit systems. And I put
9 forward ISO 9000 as an example. And I can't imagine
10 an audit of a system that doesn't include an annual
11 review. Whether that annual review dictates on-site
12 follow-up is a product of the audit itself. But I
13 also have never heard of an annual system review
14 that didn't have some spot check involved.

15 So I think there are -- if we're auditing
16 these countries as systems, there are some standards
17 that need to be adhered to and we need FSIS to
18 validate its system approach and needs to recognize
19 and adhere to these standards. There may be a
20 better one than ISO 9000, but I just mention that as
21 a model.

22 And then I also want to know, because I'm

1 certainly new to this whole process, where does it
2 go from here? If a *Federal Register* notice is made,
3 first of all, background information with that
4 notice is crucial for those of us who are newly in
5 the loop or have been out of it for some reason
6 can't engage that notice. And assuming that notice
7 is complete with sufficient background information
8 to elicit comments, what is then done with the
9 comments?

10 I think it's super important, as we move
11 into the -- continue to move into higher and higher
12 percentage of product moving across borders, that
13 our systems be accountable and then our verification
14 of systems be accountable.

15 So I just expect this question to be a
16 living question. It won't be done and we don't want
17 to just hear nothing about it for five more years.
18 So how will that aspect of the process be addressed?

19 MR. DERFLER: This is Phil Derfler.

20 When we publish the notice in the *Federal*
21 *Register*, I mean, it will provide a broad context in
22 which -- I mean, we're presenting our new approach.

1 We will be presenting our new approach to the world.
2 So it is to provide an opportunity and provide the
3 information I think you will need to comment on. On
4 the basis of any comments that we get, we will
5 publish a second notice that responds to the
6 comments that we receive. If on the basis of the
7 comments we think that there are changes to what
8 we're doing that are necessary, we'll certainly make
9 them. But the whole idea is to provide people with
10 a broad picture, a broad context on what we're doing
11 and provide an opportunity for public comment on it.

12 MR. WARSHAWER: Okay, I didn't get a
13 response to the part about system auditing. And you
14 know, I'm trying to be brief because I know we have
15 2 days of a lot of work ahead of us. I can't
16 conceive of a system audit that doesn't include
17 annual review, in nature, and annual on-site spot
18 check.

19 So I'm asking that some summary of and
20 rationale for the system approach that's been
21 chosen, be part of the background and especially
22 some explanation of the annual or cyclical and

1 preferably annual in-country presence.

2 Every system I at least personally have
3 been exposed to does involve some kind of annual
4 physical presence, and I can't think of a rationale
5 that would eliminate that. So if it is not
6 happening, there has got to be some explanation of
7 why.

8 MS. STANLEY: Yeah. And I'll quickly
9 respond because I know we have to move on, but I
10 didn't want to leave you with the impression that
11 we're not doing spot checks. And in lieu of ISO
12 guidance for audits, we refer to the Codex guidance,
13 which we were very active on the committee that
14 developed the guidance for audits in the most recent
15 updates. But there are multiple ways of auditing
16 on-site, visual as well as the documents and
17 supporting evidence. So that's all outlined in the
18 *Federal Register* notice, moving forward.

19 MR. WARSHAWER: Thank you.

20 MR. PAYNE: Thank you, Ms. Stanley, and
21 thank you all for your questions and comments on
22 that topic.

1 Moving on, we are going to give an Update
2 on the Public Posting of Establishment Level Data.

3 On the agenda, we had Mr. Jeremy Todd Reed.
4 Unfortunately he's not able to be here today. So in
5 his place we have Mr. Christopher Alvares.
6 Mr. Alvares is the Director of the Office of Data
7 Integration and Food Protection's Data Analysis and
8 Integration Group. And I will try to bring up his
9 presentation. No presentation?

10 UNIDENTIFIED SPEAKER: No.

11 MR. PAYNE: I'll just minimize this. I
12 think it's just temporary. Okay.

13 MR. ALVARES: Okay, good morning, everyone.
14 I don't have slides for the group today. I think
15 really it's more of a kind of progress report and
16 update. I think really the materials that
17 everyone's going to want to see at the end is the
18 actual kind of work products that we're finishing up
19 internally now and starting to move through our
20 governance process.

21 But we have been working on this for a
22 while. This was a topic that was brought to the

1 Committee, I believe, 2 years ago and we've been
2 doing a fair amount of work in the meantime. And we
3 felt it was an appropriate time to brief the
4 Committee, let you know where we're at in this
5 process, and answer any questions that you have.

6 So just a little context for everyone.
7 Again, I'm not sure if all of the Committee members
8 here today were on the Committee earlier, but we had
9 come to NACMPI with an issue topic about public
10 posting of establishment data in 2011, I believe.
11 And we got a number of recommendations. I think it
12 was a very lively discussion, a lot of different
13 perspectives. But we also got some recommendations,
14 that it was in some ways a bigger topic than we
15 could handle or that the Committee could really do
16 justice to in just a 2-day session.

17 And one of the recommendations that came
18 out of that was to reach out to some other groups
19 that have experience in data posting, that could
20 give us a in-depth assessment and look at
21 perspectives in greater detail.

22 So what we did was we went to the National

1 Academy of Sciences and we asked them to also help
2 us with this topic. I think one of the things that
3 came out of the Committee is that posting
4 establishment data sounds very simple in concept or
5 in that sort of mission statement. But when you get
6 down into the details, it can get a lot more
7 complex.

8 So NAS in, I think, late 2011 had a series
9 of meetings. They prepared a letter report, which
10 they gave to FSIS, and in there they made a number
11 of a recommendations. They did speak to a couple of
12 other agencies as well. I know that they got input
13 from a variety of stakeholders. And there are a
14 number of issues.

15 One of the issues that really kind of, to
16 us, came to the forefront is that we really need to
17 have a data release or data posting plan. This
18 process really started with kind of a charge to
19 identify some datasets as part of data.gov and some
20 of the open government initiatives. But really kind
21 of what we heard from NAS is that we have to have a
22 plan, we have to have a clear strategy that everyone

1 understands, that's kind of transparent, open to
2 public comment, public understanding, public review.
3 And so that's what we've been doing, you know, based
4 on that report.

5 They also had a number of other comments
6 about things like supporting that data that's
7 posting in a variety of ways, documentation,
8 infrastructure, IT support, and issues like that.

9 So we had a couple of -- in 2012, pretty
10 much throughout this past year, we had a couple of
11 meetings. We did some listening sessions with a
12 number of stakeholders. Industry was one, consumers
13 was another one. We also met with a couple of
14 internal FSIS and some other kind of sister
15 agencies, to talk about what their data posting
16 policies were.

17 So we met with representatives from CDC and
18 from FDA. We met with, actually, some
19 representatives from Mine Safety, who post a lot of
20 data publicly. We also talked internally with our
21 FOIA office to understand issues that they go
22 through when they're responding to FOIA requests. A

1 lot of FOIA requests involve submitting data to the
2 public and having to address issues such as
3 corporate confidential information, redacting PII
4 and other types of information. And so we got input
5 from them.

6 One thing I should mention is that when I
7 say we, I'm talking about a workgroup that was
8 formed within FSIS and has representatives from all
9 of our program areas, whether they're generating
10 data, analyzing data, writing policies based on
11 data, whether they're involved in consumer outreach
12 or training. So we have some representatives from
13 all of our programs area that are also providing
14 input into this process.

15 So where are we at right now? We sort of
16 wrapped up some of our discussions at our meetings
17 with stakeholders. We've gone through and kind of
18 gone back to the desk, started writing and started
19 drafting a strategic plan for data posting. We're
20 really at the stage now where we've finalized a
21 draft. We're ready to put that in front of our
22 management and go through an internal clearance

1 process. We've committed, I think, through NACMPI
2 and NAS and other conversations, to have a comment
3 period. So what we'd like to do is once we've
4 cleared this internally, we'd like to again put this
5 plan out for comments, receive input, and then
6 ultimately finalize it and use that as our guiding
7 document for going forward.

8 So what's in this plan? I can't give you a
9 whole lot of specifics. I don't have a draft that I
10 can hand out today. But I can give you some big
11 general areas that we're going to try to address in
12 this strategic plan.

13 One area is guidelines. We want to put in
14 writing some specific instructions for how to handle
15 and how to identify PII, how to handle things like
16 free text fields, sort of the level of data
17 granularity or even the level of data aggregation.
18 So some very general guidelines about what data
19 needs to be pooled together when we say we want to
20 release a dataset.

21 We're also going to put some instructions
22 in there about documentation. One of the things

1 that we heard from NAS and from this Committee is,
2 well, it was that it's probably not sufficient to
3 just put a dataset out there and then kind of leave
4 it to the public to figure out what it means, what
5 to do with it, how to interpret it, or maybe,
6 unintentionally, how to misinterpret it. And so
7 there were several recommendations to provide
8 context to that data.

9 So we're going to try to include the
10 information about the data was collected, maybe what
11 we see as some key sources of variability or things
12 that we're familiar with, you know, or kind of
13 pitfalls in analyzing the data that other consumers
14 of the data might be interested in or should be
15 aware of, things like associations to other
16 datasets.

17 So if we're posting sampling data and it
18 has some link to illness data in CDC, we'll try to
19 incorporate some of those things, ways that we
20 commonly use that data in the context of other data.

21 We're also going to define some criteria
22 for data release. How do we identify all the data

1 that we have, what to release first, how do we
2 prioritize, and how do we go ahead with those
3 postings? And so we're going to try to identify or
4 define some criteria to help us decide, is this
5 ready to go, how much is it going to take to do
6 this, and what are the process steps that we need to
7 do?

8 And then, finally, there's the release
9 process. So we have a couple of different forms
10 where it can be released through data.gov, through
11 the FSIS website. I know many people want to have
12 machine-readable formats for data. So formatting of
13 data was one area that was also brought up as a
14 consideration.

15 Infrastructure support, as well, can be an
16 issue. If we post it on our websites, we have to be
17 able to make sure that they can be downloaded and
18 that they're accessible and consumers don't run into
19 issues with actually being able to use the data.

20 And then we also have, as with a lot of
21 organizations, security issues that we have to keep
22 in mind. We have to make sure we conform to our

1 security policies for posting data and allowing
2 consumers to have access and those sorts of things.

3 So at a very high level, those are sort of
4 the main themes that the report is coalescing
5 around. And as I mentioned, we're finalizing that
6 draft. We're getting ready to move it through
7 clearance. I think it will probably be moving
8 through our clearance process in the next quarter or
9 so. So I think, publicly, we should be moving
10 forward sometime this year. At least that's the
11 timeline that we're working on right now.

12 So that's the update that I have. I'm
13 happy to entertain any questions from the Committee
14 or from the audience as well.

15 MR. PAYNE: Ms. Buck --

16 Mr. Waldrop?

17 MR. WALDROP: Thanks. Chris Waldrop,
18 Consumer Federation.

19 I wanted to echo Bob's earlier comment
20 about the usefulness of these updates for things
21 that the Committee has previously deliberated on, to
22 kind of give us an update of where you are now. So

1 I appreciate Chris coming here and providing that.

2 I also wanted to commend the Agency for
3 sort of this deliberative and methodical approach
4 you're taking to this, because I know it's a very
5 complex issue. It sounds simple, but it's not. And
6 I know there is a lot of stakeholder interest in it.
7 So I think you're taking a very appropriate approach
8 in trying to navigate those waters.

9 I can definitely see a lot of relevance to
10 posting this type of data, particularly for the
11 whole food safety community, when it comes to
12 research and sort of making a lot of data available,
13 particularly to researchers, who could then use it
14 and help us get places where normally we couldn't or
15 normally the Agency couldn't.

16 Just one quick question.

17 MR. ALVARES: Sure.

18 MR. WALDROP: In terms of, you know, you're
19 looking at posting establishment-specific data. The
20 Agency also posts a whole lot of aggregate data
21 right now.

22 MR. ALVARES: Um-hum.

1 MR. WALDROP: Is the intention to continue
2 to post that aggregate data and make sure to
3 continue that process as well as this new one?

4 MR. ALVARES: Yeah, I think the short
5 answer is yes. I don't see that this process would
6 change in any way the data that we're currently
7 posting or any sort of summary reports or analyses
8 that result in postings that we do as well.

9 I don't think the intention of this is to
10 move away from the Agency providing its own kind of
11 summaries of data. You know, quarterly reports,
12 annual report-type things I think will continue.
13 Yeah.

14 MS. BUCK: I would have to echo, of course,
15 what Chris said. This is very helpful.

16 This is Patricia Buck from the Center for
17 Foodborne Illness.

18 I would think, at this particular point in
19 time, that CDC has a huge backlog of data that needs
20 to be released into the community.

21 Will this plan involve like a timeline of
22 groups of data that will be coming out? Or how are

1 you, I guess, setting your priorities?

2 MR. ALVARES: Um-hum. So we won't have --
3 the plan won't have a specific list of datasets and
4 timelines. I think that once we kind of -- once the
5 plan is cleared and we can agree on a set of
6 criteria for selecting datasets, I think then we'll
7 have to go through the process.

8 We did get some feedback from, I think, NAS
9 in particular, that they sort of felt that the
10 most -- kind of the low-hanging fruit might be
11 sampling data and those kinds of things.

12 So that may be where we're going, but I
13 can't really say that we've got a deadline yet for
14 when to post.

15 MS. BUCK: Has there been any input, like
16 from the White House food safety group, working
17 group, to provide you with some guidance for the
18 specific needs of particular areas that maybe should
19 be put on your priority list?

20 MR. ALVARES: We haven't received any
21 direct guidance from the food safety working group
22 or the White House, that I'm aware of.

1 MS. BUCK: Maybe that's something you
2 should look into, because I think that there might
3 be some topics of interest that might be beneficial
4 to move this along quicker.

5 Thank you.

6 MR. ALVARES: Okay, thank you.

7 MR. PAYNE: Mr. Reinhard, then Dr. Tilden.

8 MR. REINHARD: So Chris, thank you. I just
9 really have one comment and it is around the
10 documentation when data is released. And this was
11 extensively debated, I think, both by this Committee
12 and NAS. And the Agency feels that they need to
13 provide comment around the data.

14 I would continue to, as I have in the past,
15 caution the Agency that providing data and trying to
16 explain to the public what it means isn't
17 necessarily your role. You may want to say how the
18 data is collected and what the data represents. But
19 to then go through and try to explain it,
20 particularly when you look at releasing all kinds of
21 establishment data, doesn't make practical sense to
22 me. I think that is something that there are

1 numerous groups in the public that will do their own
2 analysis and come to their own conclusion and will
3 comment to FSIS or to others on what the data says.
4 So I just caution you on that.

5 MR. ALVARES: That is a good point. I
6 think that came up in our discussions with the
7 Committee earlier, is what role the Agency would
8 take in -- I don't know if facilitating is the right
9 word, but participating in public analysis of data.
10 And that's going to be, I think, a real challenge
11 for us. I don't know that we've -- I don't know
12 that we're really prepared right now to engage in
13 analysis projects with a lot of academic
14 organizations and such, or any of the kind of public
15 stakeholders. So that is an issue that we're going
16 to have to address.

17 MR. PAYNE: Dr. Tilden.

18 DR. TILDEN: Yeah, here we go. Coming at
19 it from a state agency's perspective, I do think
20 you've already heard the questions about all of the
21 new systems that are evolving, credible in the eyes
22 of external stakeholders. And I think it is part of

1 readership to be able to, using the buzz word
2 transparency, to be able to articulate how
3 information is being used and how it can be
4 verified. And I think both FSIS and FDA share the
5 same challenges of using limited resources to cover
6 as much of the waterfront as you can.

7 MR. ALVARES: Um-hum.

8 DR. TILDEN: I don't see a way that you can
9 get around being able to articulate what data points
10 you're using to make decisions.

11 And I really do applaud FSIS for what
12 you're doing with sticking with this. I would urge
13 you to continue to come up with timeframes where
14 people can see the fruits of your labors. I think
15 if we go another year or two or three and we still
16 have a plan that's under revision and data isn't --
17 even the low-hanging fruit, as you mentioned, isn't
18 up there and available, the most useful, the most
19 broadly interpretable information should be getting
20 up there sooner rather than later.

21 MR. ALVARES: Okay, I appreciate that. I
22 do think, you know, one area that's -- documentation

1 and context is really important and maybe one way
2 that we may be able to judge whether data is ready
3 to be released at the very granular detail level is
4 maybe based on the level of documentation that we
5 have out there already.

6 So we have put out a number of sampling
7 plan documents and that may not be sufficient. I
8 don't want to put those out as sort of the materials
9 that would support those datasets. But to the
10 extent that we have a lot of documentation out
11 there, that may make it easier to move forward with
12 those kind of datasets.

13 MR. PAYNE: Dr. Liang.

14 DR. LIANG: Art Liang, CDC.

15 And you may have said this and I'm just too
16 old to remember a few nanoseconds ago. But did you
17 seek -- of the people you sought advice from and
18 consulted with, did you talk to anybody at NCHS, the
19 National Center for Health Statistics?

20 MR. ALVARES: So I don't know which group
21 within CDC the workgroup spoke to. I do know they
22 spoke with representatives from CDC, but I can

1 follow up with you.

2 DR. LIANG: This is more for you than
3 the --

4 MR. ALVARES: Um-hum.

5 DR. LIANG: They just have a long history
6 of at least getting vital statistics and turning
7 them into public-use data tapes. They'll probably
8 have some experience with having this publicly
9 available data that everyone is grabbing, whether
10 it's researchers in academic centers or, you know,
11 lay interest groups. So they just may have some
12 things you can benefit from, what it would take to
13 do the care and feeding of something like that.

14 MR. ALVARES: Okay, thank you, I appreciate
15 it.

16 MR. PAYNE: Ms. Klein?

17 MS. KLEIN: Sarah Klein from CSPI.

18 I don't know whether this -- I don't think
19 this was already addressed. But is the intention to
20 go back in time to provide data that was gathered
21 over the last 5 to 10 years, or just to be providing
22 data contemporaneously going forward?

1 MR. ALVARES: Well, I think that will have
2 to ultimately be part of the decision-making
3 process. Just in a general concept, I don't see why
4 we wouldn't be able to release historical data. But
5 the more you kind of go back in time, the more you
6 get into what I imagine is sources of variability or
7 things that you have to be careful about with the
8 data.

9 Even our sampling data, the way that it's
10 organized in terms of data and things like project
11 codes that have changed over time, and unless you're
12 really familiar with some of those, you really
13 need -- the further back you go, the more context
14 you need as to whether data can be combined or
15 whether it can't or whether our approach to sampling
16 establishments was a simple random sample at this
17 time and now it's a volume-weighted approach this
18 year.

19 So those kinds of things, you're right. I
20 mean, historical data would be good to release, but
21 it does also add more complexity to what we have to
22 put out. But I don't think that this approach would

1 involve sort of starting from this point and going
2 forward.

3 MS. KLEIN: Okay, thank you.

4 MR. PAYNE: I see no further questions or
5 comments.

6 Thank you, Mr. Alvares --

7 MR. ALVARES: Thank you.

8 MR. PAYNE: -- for your comments and
9 questions.

10 We're running slightly behind schedule, so
11 I'll pose a question to the Committee and our
12 designated Committee Chair now, Mr. Philip Derfler.
13 Do we want to take a break or plow straight through?

14 MR. DERFLER: Yeah.

15 MR. PAYNE: A break, okay. If we can
16 abbreviate the break to try stay on schedule and get
17 caught up. Five minutes. Does that sound enough?

18 Just ask the staff here where the bathrooms
19 are or if you need anything.

20 (Off the record.)

21 (On the record.)

22 MR. PAYNE: There's a lot to accomplish for

1 the rest of the day. Okay, we've got a few
2 remaining members who are coming in now.

3 Okay, we'll resume with the first issue
4 we're presenting to the whole Committee. We have
5 Dr. William Shaw here, who's the Director for Risk,
6 Innovations and Management Division of the Office of
7 Policy and Program Development, who will give an
8 overview of the charge of considering the unique
9 circumstances of Strengthening Verification of
10 Sanitary Dressing and Antimicrobial Interventions
11 for Veal Slaughter.

12 So Dr. Shaw, you can use the clicker here
13 or use these arrows to advance your slides.

14 DR. SHAW: Okay. Good morning, everyone.
15 And so we're going to talk about veal this morning.
16 And I think this is a really exciting, sort of,
17 opportunity for the Committee to provide us some
18 input.

19 And so I'm going to -- just some objectives
20 of what I'm going to talk about this morning. I'm
21 going to talk about some identification of problem,
22 how we came to realize the problem that we

1 identified with veal slaughter and veal products.
2 I'm going to give a little overview of the analysis
3 that we did to sort of further define the issues.
4 I'm going to talk a little bit about some policy
5 documents that we have issued, some policy documents
6 that we have in draft, and some policy documents
7 that we want to revise to address this issue. And
8 then we'll talk about the questions that we'd like
9 your input on over the course of the next day and a
10 half.

11 So on Slide 3, as doing our normal analysis
12 of FSIS verification sampling results, we had come
13 to the conclusion that our test results for Shiga
14 toxin-producing *E. coli*, which include our *E. coli*
15 O157:H7 and not our non-O157:H7, sampling programs
16 for veal products appear to have a higher percent
17 positive rate than other larger cattle slaughter
18 classes.

19 And what's on the slide, we have begun to
20 sort of report out those results, separating out the
21 larger beef cattle classes from veal, according to
22 label designation. So that is the spot on our

1 website where that information is now being shown.

2 And then on Slide 4, this is just sort of a
3 broader view. There is more information on the
4 website, and which you were provided the link to
5 sort of look deeper. But this is sort of like a
6 snapshot and you can see the differences in
7 percentages between the larger -- our verification
8 results, sampling results of larger beef cattle
9 classes and the veal, which sort of was a red flag
10 for us.

11 And so when we saw -- when we looked at
12 that data, of course we wanted to investigate as to
13 what could be leading to that, to those differences
14 in sample results. And so we looked at some reviews
15 of FSA, food safety assessments, and we did some on-
16 site visits in various veal slaughter establishments
17 to sort of investigate more of the problem. And
18 during the course of that analysis and those visits,
19 we found that sanitary dressing and antimicrobial
20 intervention implementation were common themes that
21 we saw.

22 Sort of going a little deeper into that,

1 some of our key findings were that there was
2 inadequate sanitary dressing procedures to prevent
3 carcass contamination and creation of insanitary
4 conditions; contamination with inadequate sanitary
5 dressing procedures that then overwhelmed the
6 antimicrobial interventions; and we also saw some
7 ineffective implementation of antimicrobial
8 interventions, mainly in issues with identifying the
9 critical operating parameters and ensuring that they
10 were translated into their process.

11 Some additional key findings for us, that
12 veal industry may not consistently implement
13 sanitary dressing procedures; inspection personnel
14 may not consistently be enforcing existing sanitary
15 dressing procedures at veal establishments.

16 And so some of those issues included
17 identifying and implementing sanitary dressing
18 procedures; identifying critical operating
19 parameters and implementing them associated with
20 their interventions; and then also an additional one
21 of being able to relate their microbial data from
22 both FSIS and their establishment testing, and then

1 analyzing that and then looking as to what does that
2 tell them about their day-to-day process with
3 respect to sanitary dressing.

4 And this is one example. There was a
5 particular veal slaughter establishment. They had
6 had multiple FSIS-positive STEC results in trim.
7 The establishment's generic *E. coli* carcass results
8 indicated also increasing contamination. And the
9 findings of our investigation were that the
10 establishment had a failure to relate microbial data
11 to their slaughter operations. There was a
12 disconnect. Sampling was being done, results were
13 being filed away, but there wasn't that sort of
14 carryover into what does that mean for my process.

15 And then there were also significant
16 sanitary dressing deficiencies and ineffective
17 implementation of their antimicrobial interventions.
18 So this is just one example of establishment.

19 Going a little deeper into sanitary
20 dressing deficiencies, some of the things that we
21 observed were cutting through the esophagus during
22 sticking or head removal without closing it first,

1 leading to a contamination with ingesta; failing to
2 bag and tie off the bone, leading to carcass
3 contamination; failing to adequately remove the hide
4 so that carcasses are free of visible contamination
5 prior to carcass washes.

6 Some additional things: failure to prevent
7 the hide from contacting carcasses during hide
8 removal, basically hides flapping and contacting
9 exposed carcasses; failure to clean and sanitize
10 hands, gloves, knives, and equipment as frequently
11 as necessary; and routinely puncturing the GI tract
12 during evisceration.

13 So those were some of the more sort of in-
14 depth examples of sanitary dressing deficiencies.
15 And then there were also some ineffective
16 implementation of interventions.

17 In some cases we had carcass coverage was
18 not achieved because carcasses were suspended from a
19 single hook. So therefore you have both hind legs
20 suspended from a single hook, which are not exposing
21 the inner parts, so therefore antimicrobial
22 intervention is not gaining complete coverage

1 because all areas were not exposed.

2 In other cases we had establishments were
3 not implementing antimicrobial intervention so they
4 achieved full coverage, in the fact that we had
5 potential antimicrobial interventions for primals
6 and sub-primals or trim, where product is stacked on
7 top of each other, interventions where the top was
8 exposed but the bottom that's on the belt was not
9 exposed. We had situations where the sort of
10 breadth of the spray was not wide enough to sort of
11 cover the product that's coming along the conveyer.
12 So various things like that.

13 And then also there's always an issue, when
14 you're applying an intervention to an already
15 visibly contaminated carcass, that you're just going
16 to spread it around. So those were some
17 observations.

18 And then looking at the critical operating
19 parameters of some of their support documents, we
20 had some establishments that the scientific
21 technical support looked at -- showed the
22 concentration, temperature, and pressure of the

1 antimicrobial intervention was important. However,
2 we had some establishments where they were only
3 implementing concentration as a critical operating
4 parameter, leaving this sort of coverage issue and
5 other aspects of the intervention unmonitored or
6 unverified and unvalidated.

7 So that's sort of the overview of the
8 observations that we found once we started looking
9 at FSA reports, once we started going out into the
10 field and doing observations, talking with our
11 inspection personnel and gathering information.

12 So now we're moving into the part where,
13 well, what are we going to do about this? So when
14 we also looked at existing guidance and verification
15 procedures, generally most of these documents,
16 whether they're FSIS documents or whether they're
17 industry documents, they focus heavily on the larger
18 cattle classes. There's a heavy sort of -- you
19 know, which over the years, I mean, that's what a
20 lot of those documents focus on.

21 So when we looked at those documents, we
22 potentially saw that there may be some gaps here

1 that need to be filled in, in any unique
2 circumstances or unique situations, you know, for
3 veal that we need to share and we need to get into
4 the public domain.

5 So that's one of the concerns we had when
6 we -- one of the existing verification procedure
7 documents that we have as an agency is FSIS
8 Directive 6410.1, which I believe you were provided
9 a link. And this is the document that FSIS
10 personnel use to verify sanitary dressing and other
11 process control procedures. And when we looked at
12 that, you know, we potentially have a concern that
13 there may be some data gaps with respect to veal and
14 we want to sort of make sure that we cover that.

15 And then one of the things that we did
16 issue this year in response to the veal issues that
17 we identified and I believe you also have, this one
18 is FSIS Notice 1712, where we began providing some
19 additional verification of antimicrobial
20 intervention coverage of carcasses at veal slaughter
21 establishments. And so this was an initial document
22 that we put out.

1 And then we're also developing -- as we
2 gain information and we're moving forward and
3 gathering information, we also have in draft a
4 sanitary dressing verification FSIS notice for
5 inspection personnel, which we provided in draft
6 form, where we are providing additional
7 clarification to our inspection personnel, in
8 addition to the 6410 directive, on specific things
9 with veal, some common deficiencies, relating
10 microbial data to slaughter operations, assess
11 associating noncompliance reports to strengthen
12 enforcement. And you'll see in there we've used a
13 number of photos to aid understanding best practices
14 and deficiencies.

15 And then also we're in the process of
16 revising the 2002 beef slaughter guidance, and we
17 have provided discussion points of where we're
18 going, where we see we want to go with that
19 document. And so we want to make sure that this
20 revision has what it needs to have in there with
21 respect to veal.

22 And that takes us to where we want you to

1 help. And so we are requesting feedback on these
2 various documents that we have either issued or are
3 in the process of developing. And we want to make
4 sure that we incorporate any additional aspects
5 unique to veal that have not been covered in the
6 past, since we realize that most documents out there
7 have focused greatly on the larger slaughter
8 classes. We're requesting your innovative ideas on
9 how we get this information out once we put the
10 documents together. And we have some questions to
11 pose for you and they sort of follow along with what
12 I've said.

13 So the first question for the Committee is,
14 What improvements can be made to the existing
15 sanitary dressing verification procedures (FSIS PHIS
16 Directive 6410.1) to address unique aspects of veal
17 slaughter and processing?

18 And so breaking that down:

19 Are there instructions that do not apply to
20 veal slaughter establishments?

21 Are there instructions that need to be
22 added to address unique aspects of veal slaughter

1 and processing?

2 Should the frequency of sanitary dressing
3 verification be different for veal as compared with
4 beef?

5 You know, those sorts of questions we'd
6 like input on.

7 And then Question 2 is, What improvements
8 can be made to the draft notice on verifying veal
9 slaughter sanitary dressing to address any
10 additional unique aspects of veal slaughter and
11 processing not currently in the document?

12 You know, members of my staff and others
13 have been gathering information and we believe that
14 we've made a good -- we have a good draft that's
15 working, but we would like to have additional input
16 from the Committee, if there are additional things
17 that we need to add.

18 And then Question 3 is, What improvements
19 can be made to the 2002 beef slaughter compliance
20 guidance document to address unique aspects of veal
21 slaughter?

22 Again, you know, what guidance, you know,

1 may apply to larger cattle, but may not apply to
2 veal? What needs to be added that's unique to veal?

3 And we have provided -- I think we provided
4 a one-pager that sort of, you know, outlines what
5 our general outline of what this document is going
6 to look like to provide to the Committee with a
7 little bit more context. But we're in the beginning
8 stages of this one, so we don't really have a draft
9 yet that we could share.

10 And then Question 4. And this will
11 probably permeate through Questions 1, 2, and 3.
12 But we wanted to make sure that we put this on your
13 radar screen, that, are there differences in the
14 classes of veal between, you know, bob veal all the
15 way up to heavy calf, that impact slaughter and
16 should be pointed out in the FSIS policy documents?

17 You know, in these cases, sometimes size
18 does matter with respect to especially sanitary
19 dressing and the antimicrobial interventions.

20 And then Question 5: What innovative
21 strategies can the Agency use to help industry and
22 FSIS inspection personnel better understand the

1 needs for slaughtering animals used to produce veal
2 products?

3 And so this is more of a communication
4 question.

5 And with that, that sort of concludes what
6 I'm bringing -- what we're bringing to the table.
7 And so I think we can offer any questions.

8 MR. PAYNE: Thank you, Dr. Shaw.

9 And I see a number of tent cards have gone
10 up immediately, so questions, comments from the
11 Committee. And I missed whoever was first over
12 here, Dr. Reinhard or Mr. Waldrop.

13 Dr. Reinhard?

14 DR. REINHARD: Thank you, Dr. Shaw. I have
15 like five questions, so I'm going to try to go
16 through them pretty quick.

17 Can you explain what specifically is unique
18 in the slaughtering and harvesting of veal compared
19 to beef?

20 DR. SHAW: I think those are some of the
21 things we're asking the Committee to share with us.
22 But from our point of view with what we have seen so

1 far, it's a lot about size of the animal and how
2 that affects movement through the slaughter process
3 and sanitary dressing and difficulties that kind of
4 come from that.

5 And then sometimes, with the antimicrobial
6 interventions, we have potentially seen some
7 establishments that, you know, most of the
8 interventions, most of the guidances that are out
9 there are for bigger, larger beef cattle. And some
10 of the veal slaughter establishments are trying to
11 retrofit certain types into their specific
12 situation.

13 And so we do believe, you know, there are
14 some potential unique -- and then there are also
15 some things about, you know, what they're coming in
16 with their diets or the different types of diet,
17 withdrawing situations, you know, how the rearing --
18 well, the short rearing process can then potentially
19 add some challenges at the slaughter site. Those
20 are the kind of things I think --

21 DR. REINHARD: Okay. And then I'll ask one
22 more question and I'll save the rest because they

1 may get asked by someone else.

2 The data showed veal, traditionally small
3 and very small -- you talked to that specifically --
4 versus beef plants or, you know, all beef, I guess.
5 But that would include very large manufacturers that
6 certainly do have a lot of guidance and a lot of
7 food safety intervention in place.

8 Was the beef small and very small compared
9 to veal? Did you guys look at that as a comparison
10 and take out the large beef manufacturers? Because
11 the number of samples and the rates would skew the
12 data and it's not really a direct comparison if you
13 look at what's there. So I wondered if you saw that
14 data.

15 DR. SHAW: We do look at that data. And if
16 you look at the percentages, even if we take out the
17 large beef slaughter establishments, the percentages
18 for small and very small, larger beef cattle,
19 they're not going to be anywhere near the veal. So
20 if you're thinking about a small versus large, that
21 was not a correlation we saw.

22 MR. PAYNE: Mr. Waldrop?

1 MR. WALDROP: I have a couple questions
2 about just the industry itself, just to give us some
3 perspective, and then a question about enforcement
4 and kind of what you're asking.

5 So first, can you just give a little bit of
6 information about the industry itself? How many
7 veal plants are in FSIS's catalog? And then, do
8 veal plants only slaughter veal or do the slaughter
9 plants do both veal and beef?

10 And then my last one is, does veal go into
11 other products? So like, do trimmings from veal,
12 does that go into ground beef or is it only just
13 veal is veal is veal?

14 DR. SHAW: Okay. So I'll start with the
15 third one and work my way back.

16 So as far as various products, I think
17 there's no one answer to that. For the most part
18 veal, there's an economic incentive for veal to be
19 veal, because of the differences in price per pound
20 that come along with veal.

21 And so, for the most part, if establishment
22 is -- if there are mixed establishment of producing

1 veal and products from larger cattle, you know,
2 they're going to use their veal products for
3 products labeled as veal because of the economic
4 incentive.

5 I would not, you know, discount the fact
6 that an establishment may have a small amount of
7 veal trimmings left over of an insignificant amount
8 and it may get put into traditional ground beef
9 production because there isn't enough to really do
10 anything with it. I mean, that's possible.

11 And so then your second question was
12 establishments, do they do just veal or do they do
13 -- the largest veal slaughter establishments in the
14 country, they are for the most part veal and all
15 veal all the time. They do various age ranges of
16 veal, but they're typically veal all the time.

17 Now there are some very small
18 establishments across the country that will do a
19 mix. But they're not only doing a mix of larger
20 cattle and veal. They may have some pork in there.
21 You know, they may have some swine in there and they
22 may have some various other things. So they've got

1 a lot going on.

2 And so then your first question was just
3 sort of the veal industry as a whole. We have for
4 the most part the heavy veal slaughter
5 establishments technically under our house of
6 terminology. They're considered small
7 establishments just because -- and that's number of
8 employees. Generally, none of them have over 500
9 employees, so they're not going to be in that large
10 establishment category.

11 So the largest of the veal slaughter
12 establishments are technically in that small
13 category of 10 to 500 employees, and we do have some
14 that are in the very small category.

15 For the most part, we have 32
16 establishments that produce like 99-point-something
17 percent of all the veal produced in the United
18 States. And I don't have that point in my mind
19 right now, but it's 99-point-something. And so
20 those are 32 establishments.

21 And just for the Committee to also know, in
22 looking at our sampling results, those 32

1 establishments also do a combination of slaughtering
2 animals and fabricating those animals on site and
3 there are also a large percentage of those
4 establishments that are slaughtering and sending out
5 whole carcasses for further processing at another
6 establishment or even a retail establishment.

7 And that's a verification sampling
8 challenge that we're working through right now to
9 make sure we sort of cover that aspect of sending
10 out whole carcasses, because our MT60 sampling
11 program is, you know, sort of pointed at trim
12 produced at a slaughter establishment. So we have
13 some situations where trim isn't produced at a
14 slaughter establishment. But we're working through
15 that.

16 But -- so does that sort of help --

17 MR. WALDROP: Yes.

18 DR. SHAW: -- give like a sort of
19 overview?

20 MR. WALDROP: Yeah. And then I had an
21 enforcement question also.

22 DR. SHAW: Okay.

1 MR. WALDROP: In terms of these
2 deficiencies that you're finding, that you kind of
3 laid out, is -- are inspectors writing NRs for those
4 deficiencies now, or are you finding that your
5 directives and notices aren't sufficient to be able
6 to write the NRs you need to right to be able to
7 hold these plants accountable for --

8 DR. SHAW: We have a mixture and I think
9 that's what -- when, you know, being honest, when we
10 went out and we did our sort of discussions and we
11 did our sort of investigations, we had a mixture. I
12 think, to be honest with you, we had some issues
13 going on within the Agency, of people realizing that
14 veal equals beef and that all of the expectations
15 that we've traditionally considered with larger
16 cattle, that we call the term beef, also apply to
17 young cattle, often called veal, but still beef.
18 And so we have a little bit of that issue, which has
19 led us to the notices that we have issued to this
20 date.

21 You know, with these additional policy
22 documents, we want to strengthen that knowledge

1 that, you know, veal equals beef and sort of
2 strengthen that enforcement so we are getting the
3 NRs consistently written that we need written.

4 MR. PAYNE: Ms. Donley?

5 MS. DONLEY: Thank you.

6 First of all, I'd like to thank FSIS for
7 looking into this particular problem. And I guess
8 my rhetorical question is what took you so long?
9 HACCP has been around for decades now, going on
10 decades. And some of these things you point out,
11 when I was reading this on the plane, I just was
12 absolutely flabbergasted because they're so basic.
13 This stuff is just so, so, so basic to HACCP and
14 sanitary procedures and all the other things.

15 Chris actually, because great minds think
16 alike, had asked a lot of the questions that I was
17 going to ask. But two that I still do have is to
18 his point of understanding the industry a little
19 bit.

20 Is this a scattered industry? Is it
21 concentrated more in one geographical area of the
22 country or is it kind of scattered geographically?

1 DR. SHAW: Okay. So looking
2 geographically, it's a water -- what's really funny,
3 and it's a water-related industry and a dairy-
4 related industry. So if you look at the two coasts
5 and you know, the Great Lakes area, where you have
6 dairy production, you'll find -- with some
7 exceptions, you'll find most of the veal slaughter
8 establishments because of the bob veal issue, you
9 know, being gotten from the dairy industry and sort
10 of moving to slaughter. So most of them are on the
11 coasts and in the Great Lakes area, where you have
12 high dairy production. So that's generally where
13 you'll find veal slaughter establishments.

14 MS. DONLEY: Okay. And then my second
15 question is, do you have a timeline for when these
16 materials that you're talking about will be going
17 out?

18 And then just as a suggestion, since I'm
19 not on the Subcommittee, is that as far as
20 communicating to these, when you're communicating,
21 and the fact that these are very small plants and
22 establishments that you're working with, I think

1 sometimes a picture says a thousand words. And
2 like, you're incorporating them in your materials,
3 which is great. You might want to think about doing
4 something that just about everybody has and that is
5 they can play a DVR. Make a DVR and use that as a
6 visual, again, visuals where you can educate --

7 DR. SHAW: Yes.

8 MS. DONLEY: -- in a very simple way.

9 DR. SHAW: Yeah.

10 MS. DONLEY: Do you have a timeline?

11 DR. SHAW: Oh, timeline. So we have the
12 notice that has draft written all over it. That one
13 is fairly far through clearance. So you know, with
14 the input of this Committee, we can expedite and get
15 that first one out and then follow up with sort of
16 then incorporating it into the larger guidance
17 document that we want to revise this calendar year
18 and moving on with the other issues. But yeah, that
19 notice is something we really want to act on.

20 MR. PAYNE: Next, Dr. Shultz?

21 DR. SHULTZ: I'll go back to Bob Reinhard's
22 comments just a bit to point out that I think that

1 comparing sanitary dressing procedure and pathogen
2 reduction intervention methodologies between veal
3 slaughter and beef slaughter, I think that there
4 is -- it would be very useful to compare how
5 sanitary dressing procedures and pathogen reduction
6 interventions are applied in small veal slaughter
7 plants, or veal slaughter plants in general,
8 compared to small beef slaughter plants. Because I
9 think there is not that attentiveness in the small
10 slaughter operation, especially with pathogen
11 reduction interventions, for all of those specific
12 parameters.

13 I mean, you go into a large beef slaughter
14 plant and they are adjusting and they're monitoring
15 every inch of that carcass to see whether or not the
16 PRI gets there and how much of it gets there and at
17 what pressure it gets there and at what temperature
18 it arrives there. That just doesn't happen in small
19 slaughter facilities, whether they're beef or
20 whether they're veal.

21 So I think it would be useful to really
22 have some data to say this is a small plant issue

1 versus a beef versus veal issue, to make sure that
2 the comparisons are useful.

3 The other comment I would have is that I
4 think, in terms of the beef and veal industry as a
5 whole, there's no greater case for pre-harvest
6 intervention and pre-harvest considerations than
7 there is with veal. Bob veal calves are
8 immunosuppressed if they didn't get colostrum, and
9 that is a significant problem in dairy calves that
10 come to slaughter.

11 If you look at calf environments on farms,
12 the level of STEC contamination in those areas on
13 the farm is going be much higher with the calves
14 than it is with the mature cattle because of
15 immunity.

16 So I think you're starting out with a much
17 higher risk, much more pathogen-exposed individual,
18 when you deal with a bob veal calf.

19 And I think there again, across the various
20 types of veal, I think there are -- if you compare
21 the level of sophistication in slaughter processes
22 and sanitary dressing procedures in, say, the

1 formula-fed veal versus the bob veal industry, I
2 think you'd see some significant differences, again,
3 because of the organization of the industry and the
4 tools that they have available to them.

5 MR. PAYNE: Ms. Buck?

6 MS. BUCK: This is Patricia Buck.

7 And I would absolutely agree with Craig
8 because I think you have an opportunity here, with
9 your interest in why veal is more a high-risk
10 product, to do some really creative research into
11 the pre-harvest conditions of these animals in
12 particular. And I think that FSIS should become
13 creative and find ways to do that type of research
14 so that we have a better understanding of what's
15 going on with these animals.

16 Just like children are not the same,
17 they're not little adults, calves are not just
18 little calves, and they have particular problems.
19 One of their problems might be something as simple,
20 that when slaughtering does take place, you may have
21 during evisceration, a much higher level of episodes
22 where cross-contamination from the intestines

1 occurs. And I think that would be something that
2 the industry needs to investigate very thoroughly,
3 because this is unacceptable numbers, as Nancy said.
4 They're just way too high.

5 Thank you.

6 MR. PAYNE: Next, Ms. Gapud.

7 MS. GAPUD: I agree with what Nancy said,
8 but I think it's really about time for us to do --
9 for FSIS to really do work in the veal.

10 What I can think of here is, historically,
11 I think the focus is mostly on beef. So maybe, and
12 again I say maybe, the veal industry is not paying
13 as much attention because the Agency is focusing
14 more on the beef.

15 And also, how many establishments did you
16 study where there is a mixture of veal and beef
17 processing? Do you know?

18 DR. SHAW: Off the top of my head, I don't
19 know the exact number. But the larger veal
20 slaughter establishments that we were in and
21 investigating, they stopped at heavy calf. It's
22 like the very small establishments that you'll see

1 some larger cattle being slaughtered in the same
2 facility.

3 And you also have some situations where
4 establishments will, on different days, like you'll
5 have some small and very small establishments where
6 on certain days they'll do veal and then on other
7 days they'll do different species or larger cattle.
8 So it may be a separation by days, not necessarily
9 days of the week, where they're doing different
10 types of species and classes.

11 MS. GAPUD: Yeah, because in my previous
12 experience in my previous career in the slaughtering
13 plants, when there are animals like -- of course,
14 it's hard to really come up with just uniform size
15 all the time.

16 Let's talk about chicken. It's hard, okay,
17 and some companies, some establishments, maybe they
18 have higher counts because -- especially for the
19 smaller birds. And so that can be what's happening
20 in the veal. Okay, sometimes their machine, they
21 won't bother to readjust it in order to really clean
22 the inside of it. So that's one thing that you have

1 to look at.

2 And then the field with the rollout, again
3 it's very, very important because that's where you
4 can have all the contamination coming out,
5 especially, of course, there's lots of things, lots
6 of factors in the design of the machine, in order to
7 clean the whole thing inside, that can cause
8 contamination.

9 But I'm just trying to share with you the
10 experience I had in my previous career, where
11 there's that smuggling issue again with the sizing
12 of the animals, because there's no way you can
13 always have the same size, that that machine will
14 really work 100 percent. Okay, there's no 100
15 percent. There's always a change. So that's when
16 you -- that's why I asked you, especially those
17 establishments where there's more of like mixture --

18 DR. SHAW: Um-hum. Yeah.

19 MS. GAPUD: -- I think you can see that a
20 lot. But I encourage you to also look at the farm,
21 on how they are doing it, and I think that will help
22 a lot.

1 Thank you.

2 MR. PAYNE: Next, Mr. Winchester.

3 MR. WINCHESTER: Leonard Winchester, King
4 County Health.

5 You did answer most of my questions
6 already. I just had one regarding the actual data
7 collection for the veal. There's like 32, 30-some.

8 Do you know how many plants that actually
9 came from? Was that from just like 5 or 10, or was
10 it actually just from one, in comparison with the
11 number of beef plants?

12 DR. SHAW: Oh, the 32 establishments that I
13 talked about, they are slaughter establishments.

14 MR. WINCHESTER: Yeah, the actual number of
15 the on-site core, you have like 3 positive out of 38
16 samples and 3 out of 23 samples. Do you know how
17 many plants where that data came from? Was that
18 from one facility or from multiple facilities?

19 DR. SHAW: Oh, are you on Slide 4?

20 MR. WINCHESTER: Yeah.

21 DR. SHAW: Okay. So when we look at veal,
22 for the most part, not all -- and what I said

1 before, with our sort of trim versus shipping out
2 whole carcasses --

3 MR. WINCHESTER: Sure.

4 DR. SHAW: -- we have our MT60 sampling
5 program. I mean, establishments are in a frame and
6 then the sample allocation happens.

7 And so I think in the Committee we can get
8 like all of the fine-tooth data on what -- but it
9 does not represent every veal slaughter
10 establishment because we have some issues where some
11 of them are not fully being sampled the way we would
12 like them to be sampled at the moment.

13 So I guess I'm trying to understand what
14 you're really trying to get at, so I can give you
15 what --

16 MR. WINCHESTER: In getting from out of 38
17 samples, did those 38, where three positives came
18 out, was that from a random sampling of 38 inputs or
19 from just five plants or seven plants?

20 DR. SHAW: No, it's not from five plants.

21 MR. WINCHESTER: Okay.

22 DR. SHAW: It's 38 samples from our

1 universal MT60 sampling frame --

2 MR. WINCHESTER: Okay.

3 DR. SHAW: -- of, you know, all of these
4 slaughter establishments, including beef and veal,
5 the larger beef classes and veal in our MT60 frame,
6 sampling frame, and how they're allocated according
7 to risk. And then what those 38 represent are 38
8 samples where veal was indicated that that product
9 was labeled as veal.

10 MR. WINCHESTER: Okay, thanks. Most of the
11 other questions I had are already answered.

12 I did want to acknowledge that when I read
13 through the stuff regarding cattle of any age, many
14 times there's a reference to beef and I can see that
15 somebody reading that might think, well, you're not
16 really representing veal. And I can see where you
17 guys have already identified that. So just reading
18 it myself it's hard --

19 DR. SHAW: Yeah, there's a semantics
20 challenge going on in that.

21 MR. WINCHESTER: Right, right. And I'm
22 glad you already acknowledged that that's a

1 potential problem.

2 MR. PAYNE: Next, Ms. Klein.

3 MS. KLEIN: Thanks.

4 As an outsider to the cattle industry, I
5 find it amazing that there is a semantics problem
6 here. Like, to me, it's the same animal and so I'm
7 just kind of surprised that this is happening.

8 Okay. So I have a question for USDA and
9 also a question for Art from CDC. And I don't know
10 if either of you have this kind off the top of your
11 head.

12 But the question for USDA is whether you
13 all have a sense of the consumption data around veal
14 as compared to beef, you know, segregated out from
15 beef, whether we know what the universe is on
16 consumption in terms of veal.

17 DR. SHAW: Off the top of my head I do not
18 know, but it is much less than, you know, beef from
19 larger cattle. And I think probably during the
20 course of the sessions, we can get some more
21 information.

22 And I don't know if Art, off the top of his

1 head -- but we can get some to give you an idea.

2 MS. KLEIN: Okay. So my question for Art
3 is slightly different and that is, do you know off
4 the top of your head, whether veal is appearing as a
5 separate category in the outbreak data?

6 You know, CSPI has -- I was just texting
7 with my office and they were providing me some
8 outbreak data, but it doesn't mesh with these
9 sampling results.

10 And so I am concerned that there are a
11 number of veal illnesses or outbreaks. And if
12 they're sporadic, then of course that's why they're
13 not getting counted. But if there are outbreaks
14 that are being categorized as beef, because we have
15 in our database -- I think they just told me -- you
16 know, our database, just for those of you who don't
17 know, is a subset of CDC's data. We take only those
18 outbreaks that have a fully identified food and
19 pathogen combination. So we're just a tiny subset
20 of CDC's full data.

21 But we have 12 outbreaks, less than 500
22 illnesses, and none of those outbreaks have happened

1 after the late 1990s. And so that doesn't mesh with
2 the rates of contamination. And so I'm wondering
3 whether we're seeing -- whether it's all being
4 lumped together as beef.

5 DR. LIANG: I don't know and I can find
6 out. But my experience with that is that I wouldn't
7 be surprised if veal problems, if any, are not --
8 are buried in this larger category. And without
9 taking a lot of the advisory's time, that's a
10 continual issue.

11 Previously, there was a question about -- a
12 legitimate question, meaning years ago, about blade
13 tenderized beef and we had the same situation where
14 we looked at it and there was a lot of beef being
15 mentioned, but blade tenderized wasn't -- we weren't
16 getting that level of granularity through our
17 reporting system.

18 MS. KLEIN: Right. I mean, just to try
19 to -- yeah, just to build on that, you know, CSPI
20 has been looking at the granularity of data. Right
21 now, we're in an ongoing project and there are --
22 within beef there are several discreet

1 subcategories. Blade tenderized, of course, is not
2 one of them, but you can separate out steak, for
3 example. Ground beef, of course. And there are
4 some others that we've been able to tease out from
5 the data. But I don't think veal is being captured
6 in that granularity.

7 MR. PAYNE: Okay, next, we have Dr. Rybolt.
8 And then after Dr. Rybolt, just to round out our
9 comments so we don't get too far behind on schedule,
10 I have Ms. Harvey, Dr. Shultz, and then Dr. Vetter.

11 Dr. Rybolt?

12 DR. RYBOLT: To follow up on Leonard's
13 question, he asked a question about the data that's
14 represented here, how many plants were there, and I
15 think it'd be good to have the fine-toothed data
16 when we get in the Subcommittees, to understand how
17 many plants that represents.

18 But also on the survey itself that you guys
19 did and looked at the FSAs, how many plants was
20 that? How many surveys or FSAs? I mean, you said
21 earlier 32 plants.

22 DR. SHAW: Yeah. We're probably over 10.

1 I know it was a combination of visits and looking at
2 FSA reports and also participating in food safety
3 assessments. I know we're over 10 establishments
4 and we may be even higher than that. And when we
5 get into the Subcommittee, we can give you even more
6 fine-tuned exact numbers.

7 DR. RYBOLT: Thank you.

8 DR. SHAW: But I know we're over 10
9 establishments, and we may be closer to 15, with
10 respect that we were sort of looking at.

11 MR. PAYNE: Okay, next, Ms. Harvey?

12 MS. HARVEY: Sherika Harvey.

13 I would first like to point out that I
14 agree with everyone's comments thus far, somewhat.
15 But to say that it's basic, I understand that from
16 an exterior point of view. But from an inside point
17 of view, it's actually quite complicated.

18 But bottom line, I think where the problem
19 lies is there needs to be more room for enforcement
20 of these regulations and such.

21 MR. PAYNE: Next, Dr. Shultz.

22 DR. SHULTZ: A very quick comment. Just in

1 comparison of beef to veal, I think it's important
2 to remember that we never see clinical
3 colibacillosis in mature cattle, or hardly ever, but
4 we constantly see it in young calves. It's a well-
5 established syndrome. So you certainly have a
6 different breed of cattle in terms of a slaughter
7 class.

8 And in terms of the products that are
9 produced and the way they are consumed and the way
10 that they are prepared are entirely different.
11 Ground veal is a very frequently produced product,
12 but I don't think it's consumed in a rare
13 presentation very often, because it's kind of a
14 white meat and it doesn't look so great compared to
15 a nice pink hamburger.

16 And in terms of the way veal products are
17 produced and consumed, I think it's much different
18 and I think that does have an effect on what we seen
19 in terms of disease data.

20 DR. SHAW: Are we done?

21 MR. PAYNE: We're a little bit over.

22 DR. SHAW: Well, I just want to say that

1 when the Subcommittee gets into their discussions,
2 you know, for the most part, I'll be there.

3 And then I just want to point out to key
4 members of my staff, we've been working on this
5 subject for now, you know, months and months. Dr.
6 Jan McGinn and Dr. Selena Kremer will be with you
7 also throughout the day and we can sort of get some
8 of those more fine-tuned information and sort of
9 provide even additional context as you're talking
10 and discussing and provide whatever help we can.

11 MR. PAYNE: And the next final comment or
12 question is coming from Dr. Vetter.

13 Dr. Vetter, if you'd like to come up to the
14 table here.

15 DR. VETTER: I think I'm loud enough.

16 MR. PAYNE: Or use the microphone.

17 DR. VETTER: I just have a quick question
18 about the data itself and then what you found, if
19 you found any higher risk than the veal categories
20 themselves, because you talk about size being a big
21 factor -- with our positives.

22 DR. SHAW: I would say that, for the most

1 part, what I'm going to say right now is not
2 statistically significant because we don't really
3 have -- if you look at the end of the sample, I'm
4 not going to have -- what I'm going to say is not
5 going to be statistically, you know, supported.

6 What we did see were some trends because of
7 this fact of shipping out whole carcasses that
8 really aren't represented in our sampling right now.
9 So some of the larger -- it's a little hard to say
10 one way or the other, but the bob veal and like --
11 yeah, that's the trend one from what we can
12 ascertain from the data that we have so far.

13 MR. PAYNE: Thank you, Dr. Shaw and the
14 Committee, for your questions and comments on the
15 first issue.

16 Moving on to our next issue is the Review
17 of Criteria for Categorizing Public Health Related
18 Regulations. And we have Mr. Christopher Alvares at
19 the podium again.

20 MR. ALVARES: Okay. Thanks everyone for
21 having me back again. I'll move through this fairly
22 quickly. I know we're a little bit behind time and

1 I do want to make sure we've got time for questions.
2 So I'll try and move through it as quickly as I can
3 and then leave some time before we break.

4 So just as kind of a background. This
5 isn't an entirely new activity for FSIS. In fact,
6 we've been using data about our noncompliances and
7 the regulations cited before, for scheduling and
8 prioritizing some of our activities, like FSAs. And
9 part of some of the material that was given to the
10 Committee was our public health decision criteria
11 report, which was put out -- posted on our website
12 in 2010, which really characterized our first
13 implementation of this approach.

14 And one thing that we did was -- and I'll
15 talk a little bit just to give everyone some
16 context, a little bit about that process as well, to
17 put everyone into -- to give everyone that context.

18 So we've been doing this for a little
19 while, but we're here today because we're really
20 making some advances in our approach and making some
21 updates to our process and we're really looking for
22 the Committee to help guide that. We really got

1 some good feedback the original time or the first
2 time with engaging stakeholders, getting some public
3 comment, and we really are looking for that as we go
4 forward here.

5 So just to start out. These are the
6 questions we have posed to the Committee and I
7 mention them here so that we can keep them in mind
8 as we go through the talk and go through the
9 questions and answers.

10 We have an approach that I'm going to lay
11 out in the presentation. We're really looking for
12 input from the Committee, comments on the approach
13 that we're using. We've kind of moved to more of a
14 multi-step process. We're taking a little bit more
15 of a data-driven approach in terms of selecting the
16 regulations, and I feel like that's giving us a more
17 robust process. But we'd like the Committee to
18 provide comments on that.

19 We're sort of starting this process by
20 defining four broad criteria that we're using for
21 selecting regulations. And so I've described them
22 here, but we also would appreciate the Committee's

1 input on whether we've encompassed what we think are
2 the major public health areas or themes for
3 noncompliance to focus on.

4 And then, finally, we're trying to link
5 some of these reg citations and noncompliances with
6 outcomes. We focused on pathogen results because
7 they're really fairly directly linked to the
8 establishments and to the products that we're doing
9 the inspections on. Obviously less so, ultimately
10 we'd like to be able to link public health outcomes,
11 illnesses, but that's a much more difficult
12 connection. So we've defined our outcomes as our
13 testing data, but certainly we'd appreciate comments
14 on that, as well.

15 So I mentioned the public decision criteria
16 report. This was published in 2010, but really
17 informed by an NACMPI meeting in 2008 and NAS input
18 in 2009.

19 For context, this decision criteria is
20 actually a set of seven criteria that we use, and
21 those are laid out in the report. I'm on Slide 6.
22 This W3NR rate that we're going to be talking about,

1 or the revision to it that we're going to be talking
2 about today, is one of those seven decision
3 criteria.

4 So there are a number of others, including
5 enforcement actions, recalls, human illness
6 outbreaks that are linked back, and pathogen testing
7 as well, our other criteria that we use for the same
8 sort of decision-making approach.

9 The W3NRs are a little bit unique in the
10 sense that they're focused on noncompliances.
11 They're using cut points that are based on sort of
12 industry trends or industry averages and then
13 looking for establishments that are well above that.
14 That's a little bit different than the others, which
15 are more event based and a single event would drive
16 that.

17 In the W3NR, what I'm calling here the W3NR
18 approach, a single NR is not a criteria for
19 scheduling an FSA, but at least at this approach.

20 This W3NR criterion that I'm talking about,
21 that we were using in 2010, 2011, had a set of 62
22 regs that were selected. These were chosen by FSIS

1 staff, but based on a number of inputs from program
2 areas and based on their experience and
3 understanding of noncompliances. But certainly
4 there was a call at the time for some broader input
5 on this process. And that's something we had
6 committed to do in the decision criteria report.

7 So that's sort of how we got to the
8 original W3NRs. Some examples of the regulations
9 that got included or selected through that process
10 are on Slide 8. Things like failure to maintain
11 HACCP plans, keep CCPs under control, failure to
12 take appropriate corrective actions, those are the
13 kinds of regs cited that were included in those 62.

14 And for reference, the report, there's a
15 report that was given to the Committee prior to
16 today and in there -- I think it's Appendix 1 or
17 Appendix A -- it has the originals, these W3NRs that
18 I'm talking about, that we've been using
19 historically.

20 We do have a need for updating these regs,
21 and that's part of why we're here today and part of
22 why we're making some of the changes that we are

1 now. Certainly, the implementation of PHIS changed
2 in some ways the data that we have. The inspection
3 fundamentally hasn't changed, but some of the data
4 that we capture in our systems has changed, and
5 that's allowed us to -- really allowed us in one
6 way, and maybe forced us in another way, to take
7 another look at how we're calculating W3NRs. Are we
8 taking the right approach or do we need to make some
9 adjustments based on the data we're capturing now?

10 And we did determine that we need to
11 reevaluate. So that's what we've been doing over
12 the course of the past year or so. We've been
13 analyzing the data we've been gathering from PHIS.
14 We've been doing a variety of analyses on
15 inspections. And that's really informed where we're
16 at today.

17 So we do have an update to the W3NRs and
18 I'll walk through that process. It's outlined in
19 much more detail in the report that was given to the
20 Committee.

21 One thing I do want to highlight in
22 particular is that we'd like to -- we are changing

1 sort of the name. So we've often referred to it as
2 W3NRs, and almost, you know, in every conversation
3 I've had, everyone asks, what does W3 mean? It
4 becomes sort of a distraction almost. So what we'd
5 like to do is kind of rename it. It's not because
6 we really are changing the purpose or the mission of
7 this activity or this criterion, but just because we
8 think it would be clearer to describe it as the
9 public health regulations that we're using.

10 The other reason is that NR isn't really
11 the way that we're thinking of it now. In the past,
12 in our prior system, we really were looking at
13 noncompliant NRs, as we call them, because that's
14 essentially how they were recorded in the system.
15 With PHIS we now know what regs are being verified
16 when a test is being performed. We've always known
17 regs cited when there's a noncompliance. But really
18 we're down now at the reg level. And so it's not
19 NRs per se. It's really public health regulations
20 that we're looking at.

21 Our approach is also here on Slide 10: to
22 define a set of evaluation criteria; to develop a

1 list of candidate regulations by applying those
2 criteria to the regulations and our understanding of
3 noncompliances and their impact on -- the potential
4 impact on public health; and then, finally, to use
5 data to inform and select really a subset of those.

6 So in the second bullet, to develop a list
7 of candidate regs, that's really sort of a first
8 pass based on our subject matter expertise and our
9 understanding of the regulations. We think that
10 these are ones that we should analyze and are
11 candidates for using. But ultimately we're trying
12 to use data to really select what we think are the
13 informative regs. And we also have a process where
14 we'd like to continue to evaluate that on an ongoing
15 basis and update it as the data informs that.

16 So here's sort of our process
17 schematically: define a set of selection criteria;
18 select the candidate regulations; narrow down our
19 list using data, including noncompliance rates and
20 our pathogen outcomes, and then apply that final
21 list to an ongoing process of evaluating
22 establishments and scheduling or prioritizing for-

1 cause FSAs.

2 For comparative purposes, I think really
3 the earlier approach didn't go through quite as many
4 steps or maybe didn't outline them in the procedural
5 way that we're trying to do now. We really
6 originally focused on kind of the second box here,
7 select a candidate set of regs, really analyze that
8 set as a whole and apply it. Now we're trying to
9 add more data to inform that and kind of weed out
10 the ones where we just don't have enough data to
11 support it at this time and move forward from there.

12 But in a lot of ways, it's very similar to
13 the approach that we had been using and we think
14 that that's been working well. But as I've said, we
15 want to be able to advance it and also update it
16 with the data that we have now.

17 So I've talked about the four criteria.
18 They're outlined here on Slide 12: regulations
19 concerning establishing and maintaining HACCP plans
20 and critical control points; maintaining sanitary
21 conditions; preventing adulteration; implementing
22 effective corrective actions. Those are really the

1 broad categories that we're looking at.

2 And the failure to do those is what we're
3 thinking about as we look at the regs and we say, is
4 a noncompliance in this reg likely to kind of fall
5 under one of these four criteria? And if they are,
6 then that's a basis for including it in our
7 candidate list, assuming we recognize that not every
8 noncompliance has the same level of, maybe,
9 significance or severity.

10 And so to that extent, we're trying to
11 looking at this in a more holistic sense, look at a
12 set of regulations, look at a set of noncompliances,
13 and use that to inform it.

14 But at the same time, if the noncompliances
15 associated with a certain reg are so, maybe,
16 variable in their nature that they're just not
17 informative, we're going to take that information
18 also and use that to try and help evolve our process
19 and gather the right kinds of information that we
20 need to make those kind of distinctions. I'll talk
21 a little bit more about that further on.

22 I mentioned Step 2. Selection of the

1 candidate regs is really applying these criteria to
2 the regulations. We've gone through and done that
3 already. That's part of what's in the report sent
4 to the Committee. And as I mentioned, we're looking
5 for comments on that. But we do think that it helps
6 the Committee to kind of assess how the Agency is
7 moving forward by seeing how we would apply these
8 criteria. So you can see there, there's kind of the
9 set of regulations that we would apply using the
10 criteria. Or identify it, I should say.

11 So there was a set of 143 regs that we
12 defined as candidate regs because of kind of a
13 number of complexities with implementing the C.F.R.
14 in the information system that actually maps to
15 about 118 regulations.

16 What do I mean by complexities? Things
17 like we don't map down to every paragraph level to
18 our information system. So some of them might be --
19 a number of paragraphs in the C.F.R. might be
20 encompassed in just a higher order regulation in
21 there.

22 So for example, in the example on the slide

1 here, there are a number of paragraphs, 381.1 Part I
2 through IV, that relate to pathogen testing. But in
3 PHIS, they all fall under 381.1. So that's where we
4 kind of had to map what we identified in going
5 through the C.F.R.'s to what we have in our PHIS
6 system.

7 And then, finally, the third step is to
8 take that list and, through data analysis, try to
9 identify the ones that are the most informative,
10 that are supported by data, that are supported by
11 outcomes such as pathogen positives, and use those
12 in our decision-making approach going forward.

13 So of those 118 candidate regs, PHR regs,
14 28 of them were identified as being higher in the 3
15 months before *Salmonella* positive, six for *E. coli*,
16 and at the moment we're only looking at 0157. I
17 think we're going to -- we need to gather some more
18 data before we start including non-0157. But that's
19 fully the intention of the Agency. And four for
20 *Listeria*.

21 Some of these regs, there is some overlap
22 in these regs, so they aren't purely distinct. When

1 you combine them, you get 33 regulations that we've
2 identified as being important, based on the
3 regulations and being supported by data that we have
4 in our systems.

5 A fair number of those overlap with our
6 earlier list. Sixty-four percent really map, in
7 terms of one-to-one exact matches of regulations.
8 But the overlap is even higher when you start to
9 talk about sort of broad themes. And I think I have
10 some examples coming up.

11 These are some examples of regs or, I
12 guess, sort of the categories of regs that were
13 selected for the public health PHR approach, that
14 are in common with our prior set of W3NRs. One
15 example here -- and like I mentioned, this is sort
16 of more at the higher level theme. For example, the
17 last one on the list is specify risk materials.

18 There were regs in the original W3NR list.
19 There are also regs related to that in the PHR list,
20 but they aren't the exact same regs. They're all
21 related to SRNs and from that perspective, we feel
22 we've captured noncompliances related to SRNs. But

1 the more data-driven approach, I think, selected a
2 different set of regs than what we had earlier.

3 And we have some that are a little bit
4 different. The specific regs are mapped in one of
5 the appendices to the report that we sent. But
6 there are some regs that were selected in this
7 current approach, that were not in our earlier list.
8 And there are some broad theme examples here.
9 Protecting products from adulteration at all times.
10 That sort of means even including transport and some
11 of the other, maybe, points in the process that we
12 don't normally consider; evaluate the effectiveness
13 of SSOPs, et cetera.

14 So where are we at today? As the
15 Committee, we've gone through and we've applied this
16 process. We've gone and we've identified a set of
17 candidate regs. We've done some analysis to show
18 you sort of where these candidate regs would result
19 in a final set of public health regs. And you can
20 get some context as to what a PHR list would look
21 like if we were to go ahead and implement today. We
22 do think that that's a fairly good overlap with our

1 past approach.

2 So we do have kind of a set, as we move
3 forward, that we feel is pretty consistent with
4 before and better informed by data analysis. We do
5 have plans that we would like to start using this
6 approach this year. I think we feel that we're
7 pretty close to being ready to go. And we do plan
8 to reevaluate. And I'll talk a little bit about
9 that.

10 In terms of using the public health regs,
11 the PHRs, as I mentioned, are one criteria. So this
12 would be added into the overall decision criteria
13 approach, one of the seven that I mentioned at the
14 beginning of the talk.

15 And I want to reiterate that the intention
16 isn't to have a single noncompliance trigger an FSA.
17 So there are situations where a finding at the plant
18 is egregious enough that we would want to act based
19 on one observation. And there are procedures within
20 the Agency to be able to act upon that. This
21 decision criteria isn't that approach. This is much
22 more of a data analysis prioritization process. But

1 if there's a really discreet event, that would be
2 handled separately from a PHR decision criteria
3 approach.

4 Okay. And then, similar to the approach we
5 used before, we would evaluate the 3-month period
6 prior to an establishment and use that and compare
7 it to what we call cut points or levels that we
8 think either would warrant prioritizing that plant
9 on an FSA schedule or maybe prioritize other
10 activities.

11 So how does this apply to an ongoing
12 process? Right now, what we would do for our
13 decision criteria is, on monthly cycle, we would
14 evaluate and make recommendations. Each month we
15 select establishments for doing FSAs, and this would
16 be incorporated into that monthly cycle.

17 So what we would do is compute
18 noncompliance rates for establishments based on this
19 set of public health regulations. We would compare
20 that establishment's rate to cut points for similar
21 establishments and, if selected, they would go on to
22 a schedule for FSAs. That would then go out to the

1 districts. And ultimately they sort of make the
2 decisions about where to do them and what time to do
3 them, but it's very much informed by this.

4 And certainly for-cause FSAs take a higher
5 priority over routine FSAs. But even if we had just
6 done one the month before, that might also inform
7 their decision making about when to do an FSA and
8 where to do it.

9 So some related topics, because I know that
10 there are a number of activities that this may
11 inform or may raise questions about. What about the
12 candidate list, this larger set of list, that don't
13 get selected through the data-driven process? So
14 they're in the larger set of 118, but they're not in
15 the smaller set of 33.

16 One thing that we plan to do is to continue
17 to reanalyze the data, as I'd mentioned, and we want
18 to develop a process, probably on an annual cycle,
19 to make any updates that the data informs us we
20 should make.

21 But we also recognize that there's a need
22 to understand why we may feel that these are public

1 health important, you know, significant regulations,
2 but are not necessarily supported by data. Is that
3 just because we have insufficient data? Is it
4 because we need to provide more guidance to the
5 field about when to cite certain regs? Is it
6 because of a number of things that maybe we just
7 don't -- you know, a number of things that we could
8 use to kind of improve our process and provide a
9 feedback loop?

10 So we are going to look at those regs that
11 aren't selected and try to identify whether there
12 are things we can do to improve how we cite those
13 regs, how we evaluate them in the context of
14 inspection, and use that going forward.

15 And I'm sure I'll get a question about
16 changes to regulations, including the proposed
17 poultry slaughter rule. Certainly we don't see
18 any -- I think that the way that the proposed rule
19 is written will certainly be supported by this
20 approach. Although new regs would come out as part
21 of that proposal, assuming it moves forward, those
22 could be incorporated into this process.

1 And as I mentioned, by having an annual
2 update cycle or a continuous evaluation process, we
3 would be able to integrate any changes to our
4 inspection into this process.

5 Future updates. I've talked about this a
6 couple times. We've put forth a plan here, so we
7 would welcome comments. We'd like to be able to
8 analyze this data as we go forward. With PHIS data,
9 there's a lot that we could still learn from that.
10 There are a lot of questions that we're working
11 through. But we also think that, over time,
12 policies and regulations that are put forward can
13 inform how inspection is being done in the field,
14 and we want to be able to respond to those changes
15 in terms of data.

16 So if we're making -- you know, adding
17 non-0157 STECs or *Campylobacter*, we want to be able
18 to add those, and if there are regs that haven't
19 been selected, that are informed by those, we want
20 to be able to add that in a process that we think is
21 timely and informative.

22 We also recognize that that has to be a

1 transparent process. Everyone has to understand
2 when that's happening. And I think, to be fair to
3 all parties, people have to be able to react to
4 that. They have to be able to adjust to things that
5 we're seeing in the data. And so we've defined an
6 update cycle that we think meets a lot of those
7 needs.

8 Roughly speaking, you know, just to kind of
9 put something out there that we've discussed
10 internally, is that we would analyze these regs on
11 -- you know, probably late spring, early summertime,
12 come up with our -- what we would think is any
13 updates we need to make to the PHR list. We would
14 communicate those probably no later than June. That
15 gives really a full quarter of time for people to
16 understand what updates are coming forward from the
17 Agency and how we're going to apply them, and really
18 probably in the October timeframe, implement those.

19 That gives about 90-day period for everyone
20 to understand if there are any changes to the regs
21 and what those changes might be. And 90 days is the
22 3-month period that we're looking back in time for.

1 So I think it sort of -- the timing of that cycle
2 just works well for the entire process.

3 It also allows us to get any materials out
4 that we would have for stakeholders, for within the
5 Agency, those sorts of things as well.

6 Stakeholders, as I mentioned, is another
7 area where we need to be able to share information
8 about this process on an ongoing basis. With the
9 original W3NR approach, there were a lot of
10 questions and a lot of interest.

11 Establishments want to know, where do I
12 stand? How do I figure out if I'm likely to be
13 above this cut point? You know, how can I -- if I'm
14 seeing that information, that gives me some context
15 to maybe act upon that and take some corrective
16 actions to try and address those before they get
17 beyond the 30-day window and become a bigger issue
18 and just really move quickly. So that's one area
19 that we see is important. Establishments need to
20 know where they are in this process.

21 They also need to know what the cut points
22 are and what they're being measured against. And I

1 think there's a broad interest in that process as
2 well. So what do want to do is make those cut
3 points broadly available.

4 So an establishment may know, okay, my rate
5 is X. It might be 1 percent. But the cut point, I
6 think all of the industry is going to want to know
7 what their cut point is. We can either tell
8 everyone individually or we can just post that
9 broadly. I think that the easiest way is to just
10 make that broadly available.

11 And certainly the original 2010 decision
12 criteria report, which really looked at, as I
13 mentioned, all seven criteria, that certainly needs
14 to be updated. There were a number of analyses
15 about how does this work if you do this every month
16 for a period of a year? It gives you some context
17 about are establishments getting selected multiple
18 times, how many are getting selected, you know,
19 what's the number of FSAs that might be scheduled as
20 a result of this process? So those are things that
21 we want to be able to update and also make
22 available.

1 So in conclusion, and I know that this has
2 been a very high-level presentation, but I think we
3 have a more transparent and data-driven process. We
4 really are trying to analyze each reg and make
5 decisions about those regs. We are trying to
6 evaluate each reg in the context of these criteria.
7 And I think that that gives everyone a better
8 understanding of why we selected what we selected,
9 you know, what that basis is. And I think, based on
10 input from the Committee and some guidance and
11 discussions going forward, we hope to be able to
12 incorporate a lot of that and improve this process,
13 as I mentioned, as we go forward.

14 So just to bring everyone back to the
15 questions that we posed to the Committee. I talked
16 a bit about our overall approach, defining a set of
17 criteria, selecting regs based on our understanding
18 of the regs and our understanding of noncompliance
19 with those regs, and then to analyze those informed
20 and changed regulations against testing data to try
21 to identify the ones that really can be used in a
22 data-driven selection process.

1 So we're looking for comments on that
2 approach, feedback on how to improve that as we go
3 forward. Does the Committee have comments on the
4 four criteria? And are there comments particularly
5 on the outcomes as well? Are there other outcomes
6 that we should think about as we go forward? You
7 know, I mentioned some other pathogen ones. But
8 certainly I think there are some other ideas where,
9 with more data or maybe we just don't have the right
10 kind of data right now, that we might be able to
11 eventually incorporate some other outcomes as well.
12 And we certainly would be interested in feedback on
13 that that might help drive future efforts in this
14 area.

15 So I know I moved through that fairly
16 quickly. If there are questions, I'm happy to
17 entertain them, and then I know we'll have a lot
18 more time for Q and A in the Subcommittee group.

19 MR. PAYNE: Thank you, Mr. Alvares.

20 We're at 11:51. On the Agenda we should be
21 at lunch, so I'll put this before the designated
22 Committee Chair, Mr. Derfler. In terms of the time,

1 do you want to allow for questions, so we don't move
2 too far behind our schedule?

3 MR. DERFLER: We should take questions.

4 MR. PAYNE: Okay, we're going to take a few
5 questions and the first tent card I saw go up was
6 Ms. Donley's.

7 MS. DONLEY: Thank you. Mike takes care of
8 my mike.

9 Chris, I have two -- and I'm on the
10 Subcommittee, but two overarching things that just
11 kind of jump out at me and maybe you can talk them
12 off the cliff.

13 I think the whole group -- what I looked
14 at, when I looked at this table of the current W3NR
15 lists, they all looked critically important to me
16 for public health.

17 MR. ALVARES: Um-hum.

18 MS. DONLEY: I mean very, very, very
19 important. And obviously, you know, a group had put
20 a lot of input into this one, developing this list
21 to begin with.

22 To go from that, how many is it down to?

1 Was it 118 to 32 or 64 to 32?

2 MR. ALVARES: Um-hum.

3 MS. DONLEY: Roughly cutting it in half.
4 I'm very concerned of the impact that it can have on
5 public health and safety.

6 MR. ALVARES: Um-hum.

7 MS. DONLEY: For instance, what's -- I'm
8 going to give you just to for instances. 311.16 is
9 not going to be on PHR, which is carcasses so
10 infected that consumption of meat may cause food
11 poisoning.

12 And then the second one I'll bring up is
13 417.6, which is inadequate HACCP systems due to
14 plant and operation not meeting requirements,
15 personnel not performing the specific HACCP plan
16 tests, failing to take corrective action with
17 records not maintained or adulterated product
18 shipped.

19 And maybe there are components in them, in
20 some of these other ones, to understand that.
21 Because I'm not an expert in these, I don't know
22 what's falling out during this process.

1 MR. ALVARES: Right.

2 MS. DONLEY: So that's concern number one.
3 And then concern number two is how -- and I wish
4 Stan was here, Stan Painter -- is how is this going
5 to make the inspectors' jobs out in the field -- I'm
6 very concerned that this could get very complicated
7 if they keep shifting. They're used to dealing with
8 all of these now. So if we keep changing the rules
9 of the game as you reevaluate -- and I love the fact
10 that FSIS is reevaluating. So anyway, those are the
11 two things that really jump out at me.

12 MR. ALVARES: Okay. So I'll try to address
13 them, but we can probably talk in more detail in the
14 Subcommittee.

15 You're right. I think that the larger set,
16 the candidate regs, you know, I think there are
17 certainly ones in there, and I think probably all of
18 them, that when you read them you can say yes, these
19 kind of make sense if there's a noncompliance that
20 could be a significant public issue, potentially.

21 The reason that they didn't get into the 33
22 that we selected from this analysis we've done

1 already, is that we didn't see them linked to
2 pathogen test results, which is really, I think, one
3 of the outcomes that we see as supporting evidence,
4 you know, that product might have a pathogen on it,
5 that there's an increased risk. We didn't see that
6 in the data analysis.

7 Now does that mean that next year or with
8 more data, that it couldn't get included in the
9 future? It certainly could and that's why I think
10 the reevaluation process will help to inform that.

11 But I also think we have to take those
12 kinds of observations and try to understand why they
13 didn't get -- why they aren't supported by data. Is
14 it because we need better understanding of when to
15 cite those regs? Is there just something about how
16 we're implementing those regs in our inspection
17 process that is not informative enough?

18 So that's where I think, internally, we
19 should do a reevaluation to try and assess why are
20 these regs that seem so important not being linked
21 to outcomes like the pathogen test results?

22 So I'm hoping that what we'll be able to do

1 is eventually refine our process. You know, in some
2 ways, you know, getting down to the reg level is a
3 bit more detailed than what we've done in the past.
4 I mean, we've always needed to have an understanding
5 of the regs and we've always needed to do tasks.
6 Right now, with PHIS, they're going through and
7 actually checking off which regulations they verify
8 when they do a task. And I think that's providing
9 some more prompting as to what regs they really need
10 to be verifying when they do an inspection, and I
11 think it's kind of a reminder. And that may evolve
12 over -- you know, as they get more comfortable with
13 those regs.

14 The other part of your comments were about
15 sort of the impact to the inspectors in the field.
16 The way that we're implementing the decision
17 criteria is to prioritize FSA scheduling. And FSAs
18 are really conducted by the EIAOs. So it's sort of
19 in some ways, you know, we're not directing tasks to
20 inspectors based on these PHR outcomes.

21 I do think that inspectors may have an
22 interest in their establishments getting selected in

1 this process. I think it's important to understand
2 that certain regs can inform this process. But we
3 aren't directing tasks to inspectors based on the
4 public health regs. They're really tasks that are
5 going to the EIAOs for more in-depth analysis or
6 assessment.

7 I think that kind of addresses the question
8 about inspectors, but if not, we can certainly talk
9 about that more.

10 MR. PAYNE: Next, we have Dr. Chen.

11 MR. ALVARES: I can repeat questions if we
12 need to.

13 DR. CHEN: Fur-Chi Chen.

14 My question is related to the criteria you
15 use for the public health outcome, the pathogen test
16 result.

17 And the three pathogen lists there, are
18 they all applied to the all the species, like beef
19 versus poultry, or do they have any different focus
20 on particular product, like a ground product or cold
21 cuts?

22 MR. ALVARES: Yes, that's a good point. We

1 don't test every establishment and product from
2 every establishment for every pathogen that we've
3 listed there. But I do think that by analyzing
4 those pathogens, we've covered a pretty broad range
5 of establishments.

6 So for example, *E. coli* is most commonly
7 tested in raw beef products. So that covers a lot
8 of our beef establishments. *Salmonella* covers a lot
9 of our poultry establishments, raw poultry products.
10 *Listeria* covers a lot of our ready-to-eat and
11 processing establishments.

12 So I think that we've covered some really
13 broad categories there. But you're right, it may
14 not -- not every pathogen is in every establishment
15 or tested in every establishment and we don't have
16 pathogen outcome or positive data in every
17 establishment.

18 MR. PAYNE: Okay, next, we have Dr. Tilden.

19 DR. TILDEN: Chris, just a quick question.
20 Are you going to be with us today? Do you have the
21 inspections from your staff?

22 MR. ALVARES: Yes, yes, I'll be here.

1 DR. TILDEN: Okay. And the way you
2 calculate noncompliance rates under the existing
3 one, it's not weighted towards any one of the
4 regulations?

5 MR. ALVARES: No, it's not. You know, all
6 the regulations in the PHR list are treated equally.

7 DR. TILDEN: Okay.

8 MR. ALVARES: We do recognize that there is
9 a lot of variability in the frequency of
10 verification of regs and some regs can be cited or
11 are part of multiple tasks. So it's not necessarily
12 a one-to-one relationship between regulations and
13 tasks performed. They could do five different tasks
14 and the same regulation could be verified under any
15 of those five.

16 That's something that we've identified as
17 maybe a future need. I don't think we quite have
18 the data that we need to get to that level. But
19 we'd like to understand more about, when regs are
20 being cited, which tasks are they performing when
21 they cite that.

22 And we've talked a little bit about the

1 nature of the noncompliances. If we can elucidate
2 that some more, that might also help inform.
3 Eventually, that could evolve into some weighted
4 approach, but I think that's going take a good bit
5 more work and it's going to be -- you know, if we
6 were to go in that direction, it's a much more
7 complex thing to describe and characterize.

8 DR. TILDEN: Also I just wanted to commend
9 you guys for getting us out the information ahead of
10 time. An inch of documents is a bit stiff to review
11 in a day or two over the weekend. But I really do
12 appreciate it, because it's good quality
13 information.

14 MR. ALVARES: Thank you.

15 MR. PAYNE: Next, we have Ms. Buck.

16 MS. BUCK: Basically what I'm interested in
17 is the data. I do strongly believe the data will
18 lead us out of a lot of situations.

19 Is there any plan, as we are compiling this
20 data, to bring it into some kind of way of looking
21 at the high-risk products or those plants that have
22 extremely poor histories?

1 MR. ALVARES: Um-hum.

2 MS. BUCK: I mean, just collecting the data
3 is not going to be enough to solve some of the
4 problems we're facing.

5 So is there an FSIS plan to correlate or
6 bring together these other factors?

7 MR. ALVARES: Well, I think certainly the
8 Agency looks at how their approaches are effective
9 or working, and one of our measures that we keep an
10 eye on is -- that we're trying to evaluate is how
11 often are establishments being selected by these
12 criteria. Are we getting similar to or maybe
13 analogous to residue repeat violators? Are we
14 getting plants being selected multiple times? And
15 certainly if there are, you know, what's going on in
16 those situations? Are we doing FSAs? Are they
17 effective or are we resolving the issues? So that's
18 one level, I think, of feedback in this process.
19 How is the process working in terms of
20 operationally?

21 I do think we need to try to identify ways
22 to improve how we document an inspection and use

1 that for informing our process. But I also think
2 that, you know, if there's input from the Committee
3 on how they think we should try to evaluate this as
4 we implement, you know, we certainly would like to
5 hear that.

6 MS. BUCK: Well, I'm not on that
7 Subcommittee, but I certainly hope that the people
8 who are on that Subcommittee will ask that very
9 specific question.

10 Thank you.

11 MR. PAYNE: Next, I had on my list
12 Dr. Reinhard. I noticed you put your tent up and
13 then you put it down.

14 DR. REINHARD: It was answered.

15 MR. PAYNE: Okay, his question was
16 answered.

17 And next is Mr. Waldrop.

18 MR. WALDROP: Thanks.

19 So the second cut of PHRs that you're doing
20 is looking at whether or not an NR occurred in a 3-
21 month period before a positive for pathogen, is that
22 correct?

1 MR. ALVARES: Yes.

2 MR. WALDROP: Okay.

3 MR. ALVARES: Well, I guess maybe just to
4 clarify a little bit, not so much that they
5 occurred, but are the rates of those PHRs higher in
6 those establishments than in ones that didn't have a
7 positive?

8 MR. WALDROP: And for that data analysis
9 you used a period from January through July of
10 2012 --

11 MR. ALVARES: Yes, yes.

12 MR. WALDROP: Is there any impact on what
13 the data showed? For example, you have, I would
14 say, a couple months high-prevalent season, but not
15 the entire high-prevalent season, captured in that
16 data, when you're looking at pathogens.

17 And then second, would you use that same
18 time period, or whatever your time period you decide
19 to use, that same time period with the analysis year
20 after year after year?

21 MR. ALVARES: Um-hum. So the time period
22 that we used was based on some timing of our

1 process. So January of 2012 was really when we had
2 completed our implementation of PHIS. All of our
3 establishments were using the same system and
4 documenting inspection tasks and noncompliances the
5 same way. And so that was really kind of our
6 starting point. That was how far we wanted to go
7 back, as far as analysis.

8 July was really when we started kind of
9 updating this information. Although January was
10 really when we had full nationwide data, we've
11 really been implementing this over the 8 months or
12 so prior to that.

13 So we had some other data to also kind of
14 get some basic level understanding of how tasks were
15 being performed. But when we did the analysis for
16 this, it was really just focused on those 7 months
17 because that was the data that was available for
18 this project.

19 But I think, going forward, that will be
20 expanded to 12-month cycles. So we'll always, I
21 think, try to look at 12-month periods of time,
22 assuming we do like an annual update, which I think

1 is our intention.

2 If for some reason we were doing like a
3 biannual update, we'd probably be looking at 2
4 year's worth of data. I think we'd want to use all
5 of the data that we've gathered since our last
6 update. Okay, thank you, everyone.

7 MR. PAYNE: Thank you, Mr. Alvares.

8 And now it's time for lunch. Just be sure
9 to check in your binders. There is a listing of who
10 is on which Subcommittee. Just verify that you're
11 in the right place, and if there are any last-minute
12 changes or requests, they need to be approved and
13 run by Mr. Derfler here.

14 Since we're a little bit behind schedule, I
15 might suggest that we reconvene promptly and get
16 started at 1:00. Everybody congregate here and then
17 we'll break out into our two Subcommittees for
18 deliberations.

19 Thank you very much. And please ask staff
20 here on recommendations, places to eat, anything you
21 need.

22 (Whereupon, a lunch recess was taken.)

1 on the flash drive.

2 MR. PAYNE: We saved it on the hard drive
3 here, too.

4 DR. SHULTZ: Okay.

5 MR. PAYNE: But we had a backup flash
6 drive. So you're good?

7 DR. SHULTZ: Yeah.

8 MR. PAYNE: Okay. What about the other
9 Subcommittee?

10 MS. KLEIN: So we made significant
11 progress. I don't think we need to meet unless my
12 Committee suggests otherwise. I don't think we need
13 to meet before 9:00 a.m. to finish anything up. We
14 have our recommendations on the flash drive and I
15 would love for you to put them on there so that
16 nothing happens to them.

17 MR. PAYNE: Great, great.

18 MS. KLEIN: And then just for
19 clarification, tomorrow during the session, we will
20 have time to do wordsmithing, whatever, as a full
21 Committee?

22 MR. PAYNE: Yes. Each Subcommittee will

1 report out your recommendations and then it comes
2 before the full Committee. We can get into the
3 wordsmithing, but come to a consensus as a full
4 Committee on your recommendation.

5 MS. KLEIN: Great. And then somehow it
6 will be streamlined into a document that's --

7 MR. PAYNE: Streamlined into the final
8 draft document and then we'll -- if I remember
9 correctly from the last meeting, what we did, we
10 sent them back out to the Subcommittee chairs for
11 final proofing and any minor modifications and then
12 produce the final, which would be posted on our
13 website.

14 MS. KLEIN: Okay, great. Thanks.

15 MR. PAYNE: Okay, any final questions or
16 comments before we move into the public comment
17 session?

18 And while you're thinking of any possible
19 questions or comments, I do want to let you know the
20 ethics training that is required, by law, for the
21 Committee, we were going to have that this morning
22 before the meeting started but unfortunately, the

1 person who was due to deliver it wasn't here, but
2 she will be here at 5:00 to give the 20-minute
3 ethics training for the whole Committee. So that
4 will start promptly at 5:00, after we conclude.
5 It's just 20 minutes.

6 All right, shall we move into the public
7 comment session? Okay, I'm getting an affirmative
8 there from Mr. Derfler, so we will start the public
9 comment session.

10 And the last time I checked, we had two
11 individuals who signed up to make public comments,
12 Mr. Tony Corbo and Mr. Scott Goltry. Okay,
13 Mr. Goltry has indicated he is not going to make a
14 comment, so Mr. Corbo.

15 MR. CORBO: Tony Corbo from Water Watch
16 again.

17 I participated in the Subcommittee that was
18 on data analysis and for the first hour and 45
19 minutes, I was thinking back to the good old days.
20 I see the former administrator here, Dr. Masters,
21 who, whenever she chaired this Committee, allowed
22 participation from the audience and it didn't occur

1 until about an hour and 45 minutes into our meeting
2 upstairs, that the audience was allowed to
3 participate. And I understand that a similar
4 discussion happened here during the Veal
5 Subcommittee. And I think we need to make it
6 consistent as to what role the audience is going to
7 play in the Subcommittees.

8 So I think there was some input. I got up
9 a couple of times, and others from the audience made
10 valuable contributions to the deliberations
11 upstairs, so I would recommend that we be consistent
12 in terms of allowing audience participation.

13 Second thing that I remember was
14 Dr. Masters involved all of the employee
15 organizations to participate, as least as ex-officio
16 members. I contacted Stan Painter. He was never
17 invited to participate in your -- group. Same thing
18 happened when you all picked your teleconference
19 call on the poultry slaughter ruling. And he just
20 remembered you called out the names of employee
21 representatives twice and there was no response
22 because none of them were allowed to participate.

1 So I don't know what's going on, if there's
2 miscommunication, but Stan said that he would have
3 been here had he known that he was invited. So
4 that's Number 2.

5 As far as the deliberations upstairs with
6 the Data Subcommittee, I think that the Agency -- in
7 terms of the direction that it wants to go, but I
8 want to echo both concerns that Nancy Donley and
9 Pat Buck registered earlier about the lack of data,
10 the lack of quality data.

11 And I've said this to the Agency before,
12 every time you say that PHIS is fully implemented,
13 it's like fingernails scratching across the
14 blackboard. It's not fully implemented. Yes, you
15 had stopped using PBIS. I'm glad that PBIS is gone.
16 But PHIS is not fully implemented because it's still
17 not working properly. Inspectors have problems
18 using it; you're not collecting quality data of the
19 access issues. And so I would really appreciate,
20 you know, that you stop using the term it's fully
21 implemented.

22 And one of the things that would have been

1 useful in terms of the discussion upstairs was where
2 we're at with the implementation of the hazard
3 analysis verification procedure that we were
4 promised was going to go into existence in 2010 and
5 it got bumped into 2011, then it got bumped into
6 2012, and here we are, 2013, with no end in sight in
7 terms of when that procedure is going to be
8 implemented.

9 Because the discussion that was going on
10 upstairs focused on food safety assessments, but
11 eventually the public health regulations that were
12 being discussed in terms of FSAs is also going to
13 apply to HAVs. And it would have been interesting
14 to have Stan Painter's input in that discussion as
15 Nancy had asked earlier. So that's my comment about
16 today's proceeding.

17 I want to get back a little bit to the
18 discussion of the international program here at FSIS
19 because one thing, I wasn't prepared to get up when
20 I did and Sarah was very kind to have me do the
21 presentation, but one thing I want to point out is
22 that the budget for the international programs has

1 taken a hit. It took a hit in 2010. And I just
2 hope that the *Federal Register* notice that you put
3 out is not designed to put a square peg in a round
4 hole because of the fact that they have a lower
5 budget.

6 Consistently during the Bush
7 administration, you were spending between \$18-20
8 million a year on international programs; it's down
9 to \$15 million. That's the real reason you're not
10 visiting all of these countries as frequently as you
11 are.

12 The other thing I want to point out is that
13 Mr. Almanza never answered Put Buck's question about
14 why you are using a pilot program involving five
15 swine plants to determine equivalency of inspection
16 systems of entire countries that are exporting to
17 the United States, and that question needs to be
18 addressed because how in the world are you using a
19 pilot program, confined to only five swine plants
20 and allowing Australia, New Zealand, to ship beef
21 and mutton products to the United States for all of
22 their plants?

1 Thank you very much for your time.

2 MR. PAYNE: Thank you, Mr. Corbo.

3 Any other comments? Dr. Shultz?

4 DR. SHULTZ: Only one with regard to PHIS.

5 I spent 17 years in FSIS and I came from an era
6 where we did everything with paper. I came from an
7 era where we submitted all of our data to a data
8 processing center in Des Moines, Iowa, on paper.
9 And the ability to get that data entered, hand-
10 entered, was simply -- even though we were well-
11 funded at the time, it couldn't be accomplished.
12 And tremendous amounts of data just fell through the
13 cracks.

14 And I had the opportunity, with Dr. Basu
15 this morning, to look at residue data because of
16 having an interest in residues for a long time and
17 he had some questions about the national residue
18 program. And the opportunity to look at PHIS data
19 and to see the way it was organized and the kinds of
20 comparisons, plant-to-plant comparisons, that we
21 could make, the kinds of various filtering
22 mechanisms that are available with that data are

1 truly extraordinary compared to my days with paper
2 data.

3 And so even though I fully recognize that
4 there's probably still only about two-thirds to
5 three-quarters of the data getting in, because it's
6 simply an overwhelming task, and having gone through
7 ESample, EAVRS, and all of the formative phases of
8 electronic data processing in FSIS back in the
9 nineties and the early part of -- turn of the
10 century, I just see extraordinary improvement. So
11 I'm sure we have a long way to go, but I think we --
12 I must give FSIS credit for its accomplishments with
13 PHIS.

14 MR. PAYNE: Thank you, Dr. Shultz.

15 Ms. Donley?

16 MS. DONLEY: Thanks. This is kind of not
17 relating to what we were all working on today, but I
18 think it would be very helpful. And I don't know if
19 anyone here from FSIS is equipped and ready to
20 answer this type of question, but it would be nice
21 to start these meetings with kind of a recap of
22 what's happened with all of the information we've

1 given from the prior meeting, where is it in the
2 system, what's been done with it.

3 You kind of like, you feel like you give
4 all this input and then it just kind of gets, you
5 know, shoved into a drawer and nothing gets done
6 with it. So if you have any information to share,
7 I, for one, would welcome it.

8 MR. PAYNE: Mr. Derfler?

9 MR. DERFLER: What I would say, in response
10 to that, is that was the point of the first two
11 presentations today. I mean, when we have something
12 to report that we think is significant, we are
13 trying to show that we're absolutely committed to
14 feed it back to the Committee because we appreciate
15 the Committee's input, we want the Committee's
16 input. And so we want to show you what we're doing.

17 I'm not sure, you know, like if you're
18 asking for all of the things, all the
19 recommendations that you've made, we could look into
20 that. But what we wanted to do was to show you that
21 we are taking the input that we get from the
22 Committee seriously and we're trying to feed it back

1 to you, which was how we started today.

2 MS. DONLEY: And that was just -- yes, it
3 was helpful. Just though, that was from 2008, and
4 but even just the things that we talked about, you
5 know, a year ago is -- you know, what's been done
6 with the information, our recommendations, that type
7 of a thing, just would be helpful.

8 MR. PAYNE: Thank you. Dr. Tilden?
9 Ms. Buck? Sorry.

10 MS. BUCK: I would concur that we need to
11 have better communication with the NACMPI Committee
12 from FSIS. Not to belabor a point, but we
13 certainly -- many of us remember the telephone
14 conference call that we had on the poultry slaughter
15 inspection rule and while that was an effort on the
16 part of the Agency to keep the Committee involved, I
17 think it might be a very good idea to have some way
18 of communicating major key topics to NACMPI on a
19 more regular basis. And it might even be helpful to
20 have something like a suggestion box, that when
21 Committee members are gathered, they might be able
22 to give you some time for some suggestions that

1 might improve our effectiveness.

2 MR. DERFLER: And all I would say is we
3 value the time with the Committee. We had the
4 presentations today and then we had the two major
5 topics and we have the -- so we'll try and find a
6 way to strike a reasonable balance. Okay.

7 MR. PAYNE: Thank you, Ms. Buck.
8 And next, Ms. Gapud.

9 MS. GAPUD: Well, the question I want to
10 ask, also, is like what Pat and Nancy said. We had
11 our conference last, I think, March or April, that
12 proposed whether the slaughter -- modernization
13 process and since then, we really never heard
14 anything else from you guys on what happened, what
15 was the plan on that one.

16 I know that there were some meetings, but
17 -- you know, I know there are some union workers
18 doing this, doing that, and so on. But we never
19 heard any more from the Agency on what is our next
20 step, are we going to post group proposal, what is
21 next? I think we need to know, too. You know, this
22 is the Committee that helps the Agency, so -- but we

1 never heard anything from you guys. I don't know
2 what's your next step in that.

3 MR. DERFLER: I'm not going to comment on
4 that. I mean, obviously, there's a rulemaking going
5 on and the comments of the Committee have been taken
6 and included as part of the rulemaking record and
7 we're reviewing it. And when the process is over,
8 we'll announce it. We can then -- you know, we'll
9 announce and we'll show the Committee's input, you
10 know, as part of the rulemaking process.

11 MS. GAPUD: Do you have any ongoing --

12 MR. DERFLER: No. It's very difficult to
13 predict the rulemaking process.

14 MR. PAYNE: Thank you, Ms. Gapud.

15 Mr. Warshawer?

16 MR. WARSHAWER: This is maybe just a
17 personal comment to these series of comments,
18 because I share the Committee members' desire to be
19 in the loop more. And I'm stuck with this mental
20 frame of kind of project management and progress
21 measurement and outcome orientation, and I want to
22 know what's happening. And I'm getting the message

1 that that's just not our function. We are on deck;
2 we're called on when we're needed. We're to be
3 rested, ready, and raring to go and when they want
4 something, they'll tell us. The rest of the time,
5 we're just like the public.

6 And maybe that's okay. And if I'm wrong
7 about that, I'd love to hear it clarified. But, you
8 know, like when I'm trying to manage something for
9 an outcome, I keep track of what was done last,
10 what's done next, and I think that's what we're
11 hearing is that's not our part of the job. So I
12 would love to just know for sure so I can chill out,
13 relax, and be ready when you call on me.

14 MR. PAYNE: Mr. Derfler.

15 MR. DERFLER: All I would say is thank you
16 for the comment and we'll take it under advisement,
17 all the stuff that we're hearing.

18 MR. PAYNE: Thank you, Mr. Warshawer.

19 Ms. Klein?

20 MS. KLEIN: Could I ask a historical
21 question? I don't know if it's something that
22 either Phil or somebody else would have the answer

1 to, and it's kind of a point of order about the way
2 the Committee works, not about a specific issue.

3 And that is, has -- we have, over the last
4 several sessions of this Committee, expressed
5 varying degrees of what Steve just said, this kind
6 of dissatisfaction with the way that the Committee
7 is being run, and so we're being called upon.

8 And so the question, from a historical
9 perspective is, has the Committee ever, to your
10 knowledge, captured that complaint in one of the
11 Committee documents that gets put forth to the
12 Agency and to the Secretary? Has that ever been
13 captured in one of these Committee reports?

14 MR. DERFLER: I don't have an encyclopedic
15 knowledge of the recommendations, but to my
16 recollection, we've never gotten that, per se.
17 We've heard the complaint before, which is part of
18 the reason why we're making the presentations that
19 we made, to start off the presentation. We do want
20 to keep you in the loop as to what's going on and
21 all I can say is there's a balance between the
22 issues that we presented to the Committee and our

1 progress on those issues so that the presentation we
2 make back to the Committee is something that's
3 reasonably well developed and worthwhile. And this
4 is the balance we've struck.

5 I mean, we're open to -- obviously, this is
6 an advisory committee. We're open to suggestions
7 and we'll take it under advisement and we'll try and
8 do the best job we can. But it is a balance of your
9 time and our time and trying to make your time as
10 worthwhile as possible because we appreciate it.

11 MS. KLEIN: So maybe, as a question for the
12 Committee members, if anyone is interested in
13 working up some language that could be deliberated
14 tomorrow during the session that would reflect,
15 maybe, some recommendations for the Agency about how
16 to handle this Committee and information sharing
17 with this Committee and even where the Committee
18 is -- I know that's been an issue, where, within the
19 Agency the Committee is overseen.

20 I don't know if it would be the will of the
21 Committee to have that kind of language included in
22 our final report, but it seems that that would be a

1 way to memorialize the struggle that we're all
2 having, or some of us are having, with the way that
3 the Committee is run, in a more formal way.

4 So I don't know whether anyone else is
5 interested in doing that, but I would offer it as an
6 opportunity or an option.

7 MR. PAYNE: Ms. Buck?

8 MS. BUCK: In response, Sarah, I would say
9 that I would be interested and I'm willing to work
10 with you on that. Are there other members that
11 might be interested?

12 MR. PAYNE: Mr. Warshawer?

13 MR. WARSHAWER: Interested to a point, but
14 resource-limited.

15 MR. DERFLER: I'll just say we hear you,
16 what you're saying, and we'll -- I mean, I'll commit
17 to you that we'll take it back and we'll talk about
18 it, whether we get something formal or not. I mean,
19 you know, like I said, we appreciate your time, we
20 appreciate your input. We're not here to make you
21 feel like you're being dissed or anything like that.

22 We're here because we want your advice and

1 we want to get back to you and let you know what
2 we're doing because -- I mean, as the first
3 presentations today showed, we really do take it
4 seriously. Our process might not move as quickly as
5 some or all of us would like, but that's where we
6 are.

7 MR. PAYNE: Ms. Buck?

8 MS. BUCK: Thank you for those comments,
9 because I really believe you are interested and you
10 want to help NACMPI to become more productive. But
11 sometimes it has to be taken to the higher level so
12 that they understand the problems that are
13 simmering, okay?

14 And I think that this might be helpful to
15 you for us to take some action as a formal
16 recommendation. And I hope other members would join
17 us. Doesn't have to be long, just something short.

18 MR. PAYNE: Thank you, Ms. Buck.

19 Mr. Warshawer?

20 MR. WARSHAWER: I'm maybe not saying that
21 much different. I don't view this as crippling and
22 don't feel like we're being dissed. We're just, to

1 the extent that you do call on us and do value us,
2 we want to do all we can.

3 And so there is this critical striving
4 quality that almost everybody that would volunteer
5 for this kind of work brings to it, so we're
6 probably not going to just sit back and wait and see
7 what happens next; we're going to reach out and try
8 to engage the process as much as we can. And that
9 is totally in support of you that we do that, not to
10 criticize and be negative and be destructive.

11 MR. PAYNE: Thank you, Mr. Warshawer.

12 Next, Ms. Donley.

13 MS. DONLEY: Question. It would have been
14 really helpful, maybe for next time you could do
15 this, is even as you're formulating within the
16 Agency of what topics of discussion you're thinking
17 of bringing to the Committee, that you're saying
18 hey, we're heads up, you know, Veal Slaughter and
19 Data, that even if you haven't zoned in on it that
20 we have a bit of a heads up and could do a little
21 bit of additional research before the meetings.

22 Appreciate getting the information ahead of

1 time. Unfortunately, for me, it wasn't enough ahead
2 of time to really be able to dive into it. But even
3 if it's just a broad, general hey, we're going to
4 continue on this topic or go to this topic, I would
5 find it helpful. I don't know if the rest of the
6 Committee would, but it would be for me.

7 MR. DERFLER: You know, it sort of -- I
8 mean, we've heard -- because I've been involved in
9 the Advisory Committee off and on for a long time.

10 You know, we hear -- we've heard different
11 things about providing materials and stuff like
12 that, to prepare people. Some people have said, you
13 know, we want it and then other people say it's just
14 a waste of your time because I'm only going to read
15 it on the plane going out there, anyway, so there's
16 no reason to get it to me before that.

17 So, I mean, that's -- we're open -- you
18 know, we're going to put together the information.
19 We spend a fair amount of time, as I think is
20 evidenced from the materials that you have for this
21 meeting, putting it together. We just have been
22 responding to some of the things that we've heard.

1 MR. PAYNE: Any further comments in the
2 time remaining?

3 (No response.)

4 MR. PAYNE: Mr. Derfler, I don't see any
5 tent cards raised or anyone coming up to the
6 microphone, so do we adjourn today's session?

7 MR. DERFLER: Sure.

8 MR. PAYNE: Okay, you heard it from the
9 chair, designated chair. Today's session is
10 adjourned.

11 And as I mentioned just a while ago, we
12 have that ethics training that starts at 5:00; only
13 20 minutes. Perhaps we can get started sooner, if
14 the person comes earlier than 5:00. We have about
15 -- it's 14 before 5:00, 14 minutes before 5:00.

16 And the question I'm going to ask, the
17 question about leaving binders in this room --
18 Ms. Fernandez. Can we? Okay, hear the answer that
19 we can leave them in the room.

20 (Whereupon, at 4:50 p.m., the meeting was
21 concluded.)

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C-E-R-T-I-F-I-C-A-T-E

This is to certify that the attached
proceedings in the matter of:

NATIONAL ADVISORY COMMITTEE ON
MEAT AND POULTRY INSPECTION

PLENARY SESSION

Washington, D.C.

January 16, 2013

were held as herein appears, and that this is the
original transcription thereof for the files of the
United States Department of Agriculture, Food Safety
and Inspection Service.

CATHY BELKA, Reporter

FREE STATE REPORTING, INC.