

## UNITED STATES DEPARTMENT OF AGRICULTURE

+ + + + +

## NATIONAL ADVISORY COMMITTEE ON

## MEAT AND POULTRY INSPECTION

+ + + + +

## PLENARY SESSION

+ + + + +

January 17, 2013

9:00 a.m.

Patriots Plaza III  
355 E Street, S.W.  
Washington, D.C.

CHAIR: MR. ALFRED V. ALMANZA  
Administrator  
Food Safety and Inspection Service

MODERATOR: MR. KEITH PAYNE  
Deputy Director  
Outreach and Partnership Division  
Office of Outreach, Employee Education  
And Training  
Food Safety and Inspection Service

## COMMITTEE MEMBERS:

MS. PATRICIA K. BUCK  
DR. FUR-CHI CHEN  
MS. NANCY J. DONLEY  
MS. VENERANDA GAPUD  
MS. SHERIKA HARVEY  
MS. SARAH A. KLEIN  
DR. CAROL LORENZEN  
DR. JOHN A. MARCY  
DR. ROBERT G. REINHARD  
DR. MICHAEL L. RYBOLT  
DR. CRAIG E. SHULTZ  
DR. JOHN D. TILDEN  
MR. CHRISTOPHER WALDROP  
MR. STEVEN E. WARSHAWER  
MR. LEONARD W. WINCHESTER

## USDA:

DR. ELISABETH HAGEN

## FSIS:

MR. PHILIP DERFLER  
DR. DANAH VETTER  
MR. CHRISTOPHER ALVARES  
MS. NATASHA WILLIAMS

## ALSO PARTICIPATING:

MR. SCOTT GOLTRY  
DR. ARTHUR LIANG  
DR. JORDI SERRATOSA

## I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
<b>Reports from Subcommittees, Full Committee Discussion and Final Vote</b>	
Subcommittee 1: Strengthening Verification of Sanitary Dressing and Antimicrobial Interventions for Veal Slaughter - Considering the Unique Circumstances Dr. Craig Shultz	6
<b>Question 1</b>	7
<p>What improvements can be made to the existing sanitary dressing verification procedures (FSIS PHIS Directive 6410.1) to address unique aspects of veal slaughter and processing?</p> <p>A. Are there instructions that do not apply to veal slaughter establishments?</p> <p>B. Are there instructions that need to be added to address unique aspects of veal slaughter and processing?</p> <p>C. Should the frequency of sanitary dressing verification be different for veal as compared with beef?</p>	
<b>Question 2</b>	9
<p>What improvements can be made to the draft notice on verifying veal slaughter sanitary dressing to address any additional unique aspects of veal slaughter and processing not currently in the document?</p>	

## I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
<b>Question 3</b>	<b>27</b>
<p>What improvements can be made to the 2002 beef slaughter compliance guidance document to address unique aspects of veal slaughter?</p> <p style="padding-left: 40px;">A. Is there guidance that does not apply to veal slaughter establishments?</p> <p style="padding-left: 40px;">B. Is there guidance that needs to be added to address unique aspects of veal slaughter?</p> <p style="padding-left: 40px;">C. Are there other changes to the guidance that are needed in addition to the changes currently under consideration?</p>	
<b>Question 4</b>	<b>28</b>
<p>Are there differences in the classes of veal (bob veal, formula fed, non-formula fed, and heavy calf) that impact slaughter and should be pointed out in FSIS policy documents?</p>	
<b>Question 5</b>	<b>66</b>
<p>What innovative strategies can the Agency use to help industry (comprised of small and very small establishments) and FSIS inspection personnel better understand the needs for slaughtering animals used to produce veal products?</p>	
Subcommittee 2: Review of Criteria for Categorizing Public Health Related Regulations	<b>90</b>
Question 1	<b>91</b>
Question 2	<b>103</b>

## I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Question 3	138
<b>Public Comment Period</b>	
Scott Goltry	177
<b>Closing Remarks</b>	
Mr. Alfred V. Almanza	179
<b>Adjourn</b>	183

## P-R-O-C-E-E-D-I-N-G-S

(9:00 a.m.)

1  
2  
3 MR. PAYNE: We are unfortunately having a  
4 little bit of IT difficulty trying to project. We  
5 did have this side working, and this side was not  
6 working earlier this morning. So the IT technicians  
7 are trying to rectify the situation.

8 But, we should go ahead and get started  
9 with the reports from each of the Subcommittees.

10 According to our agenda, we'll start with  
11 the report out from the Subcommittee, the first  
12 Subcommittee on Veal Verification. The Chair of  
13 that Committee is Dr. Craig Shultz.

14 What we'll have to do, since we don't have  
15 the electronic -- we do have it on our laptop here,  
16 but we just have no means of projecting it up on the  
17 screen, I think, I believe everybody has hard copies  
18 of the reports. If you don't, raise your hand, and  
19 we'll get the copy to you.

20 Okay. We need a copy for Dr. Vetter and  
21 for a couple of folks here in the back.

22 With that said, Dr. Shultz, I will turn the

1 table over to you.

2 DR. SHULTZ: Good morning. I will go  
3 through these questions and provide you with what we  
4 came up.

5 Question 1: What improvements can be made  
6 to existing sanitary dressing verification  
7 procedures under 6400.1 to address unique aspects of  
8 veal slaughter and processing?

- 9 • Are there instructions that do not apply to  
10 veal slaughter establishments?
- 11 • Are there instructions that need to be  
12 added to address unique aspects of veal  
13 slaughter and processing?
- 14 • Should the frequency of sanitary dressing  
15 verification be different for veal as  
16 compared to beef?

17 Our Subcommittee decided that the current  
18 regulatory requirements applicable to beef slaughter  
19 operations should be equally applicable to veal  
20 slaughter operations.

21 To specifically rewrite an entire set of  
22 directions designated or to specify veal, we believe

1 would be unnecessary. What we see here rather than  
2 a situation where there's a vast difference between  
3 the two slaughter classes, what we see actually is a  
4 situation where we may have a document that doesn't  
5 sufficiently reflect the inclusion of veal, and that  
6 as this document is reviewed, by the in-plant  
7 personnel, that they may not even be aware or fully  
8 aware that this does apply to veal.

9 Leonard pointed out in our discussions in  
10 the Subcommittee, that you read a significant  
11 portion of the document before you finally see the  
12 word veal.

13 So as having been an in-plant staff person  
14 for FSIS for many years, I can tell you when we  
15 refer to these documents under in-plant conditions,  
16 we often read them very quickly, we look for  
17 specific portions of them, and it would be very easy  
18 to come to a conclusion in the way that these  
19 documents are handled in the field to simply assume  
20 that this document does not apply to that class, to  
21 that slaughter class.

22 So we feel that 6400.1 should be revised to

1 include more veal industry specific language so that  
2 there is a clear understanding by FSIS in-plant  
3 personnel of its applicability to veal slaughter  
4 operations.

5           So that's how we see the correction that  
6 would be effective.

7           The Subcommittee does not believe that the  
8 frequency of sanitary dressing verification should  
9 be different for veal as compared to beef. There  
10 again, our Subcommittee's conclusion was that we  
11 have already a verification procedure that may not  
12 be fully appreciated by the individuals, the in-  
13 plant personnel who are working in veal plants, and  
14 that if that were applied, we don't see any  
15 advantage in simply increasing the frequency when we  
16 may not have already achieved the frequency that  
17 regulatory requirements would dictate.

18           So any comments or questions on that  
19 response?

20           Okay. Question 2: What improvements can be  
21 made to the draft notice on verifying veal slaughter  
22 sanitary dressing to address any additional unique

1 aspects of veal slaughter and processing not  
2 currently in the document?

3           The Committee recognizes the need to modify  
4 the draft notice on verifying veal slaughter  
5 sanitary dressing procedures to more effectively  
6 communicate FSIS regulatory information to small and  
7 very small plants and to include more visual aids  
8 such as photographs.

9           Additionally, the Agency should work within  
10 its small plant outreach division to develop  
11 appropriate educational and training materials.

12           The Subcommittee recommends that FSIS  
13 should seek veal industry expertise on the best  
14 practices on sanitary dressing procedures.

15           The Subcommittees also supports increasing  
16 the sanitary dressing verification frequencies to  
17 establish a baseline. After some predetermined  
18 timeline such as 90 days, the Agency should  
19 reevaluate the data and determine the need for  
20 further modification to sanitary dressing  
21 verification frequencies.

22           The Subcommittee recommendation is that

1 verification frequency may be increased based on  
2 establishment specific performance.

3           Again, we recognize certainly the very  
4 significant need for small plant outreach in this  
5 situation. I think we've determined that all these  
6 plants are in the small and very small categories.  
7 I do also see that based on the data that FSIS  
8 provided us yesterday, that in comparing small and  
9 very small plant activities between veal and small  
10 and very small plant activities in other slaughter  
11 classes, there was no significant difference in  
12 activities.

13           However, with that said, I think we have,  
14 as addressed yesterday, a higher risk slaughter  
15 class based on a number of variables including the  
16 way that these animals arrive at slaughter, the way  
17 that they are transported to slaughter, how they're  
18 handled prior to slaughter, and many, many other  
19 pre-harvest factors that affect the risk once they  
20 arrive at the slaughter establishment.

21           So these were the recommendations that we  
22 made based on that knowledge.

1 Any comments, questions? Yes.

2 MS. BUCK: Pat Buck from the Center for  
3 Foodborne Illness. One of the things that I was not  
4 aware of during our Subcommittee meeting yesterday  
5 was the practice of having hide-on carcasses for  
6 veal, and I just feel that that's such a risky  
7 practice that I think we've answered it with what we  
8 said here, but I wanted to note that some of the  
9 practices are additionally risky and probably FSIS  
10 should spend a lot of time carefully reviewing the  
11 specific guidance for veal.

12 DR. SHULTZ: That's a point well taken, and  
13 I did take some time to review the guidelines that  
14 they provided on sanitary dressing procedures, and  
15 it does appear that to comply with those guidelines,  
16 to meet those guidelines, that anyone producing  
17 hide-on veal will be challenged to meet those  
18 standards and produce that product.

19 So I do think it will apply necessary  
20 regulatory encouragement to deal with that  
21 particular higher risk class.

22 MS. BUCK: Do we need to add anything about

1 that in our comments?

2 DR. SHULTZ: Well, I don't think we could  
3 dictate that that practice would be banned by the  
4 industry. I'll refer to Mr. Derfler. It's an  
5 established industry practice. It's part of the  
6 culture of veal slaughter I would say.

7 MR. DERFLER: Yeah, we're interested in  
8 what you think is the best thing. I mean we'll have  
9 to deal with the practicality issue, you know, if --  
10 whether it's a good practice or something like that.  
11 But if you think there's a recommendation you want  
12 to make, then we encourage you to say whatever  
13 you --

14 DR. SHULTZ: Well, I think, as I said, the  
15 guidelines really do address it in terms of, if  
16 you're going to produce that product and meet those  
17 guidelines, it will place a significant challenge on  
18 you, a much greater challenge that you've had in the  
19 past. So I do believe that it has been addressed to  
20 that extent.

21 MR. PAYNE: Ms. Gapud, you have a comment  
22 or question?

1 MS. GAPUD: I agree with Dr. Shultz  
2 regarding specifying the veal, whatever we have for  
3 beef already, that they have at least really  
4 specified that we can also use it for veal, but like  
5 what I said yesterday, again especially the data  
6 that was presented yesterday, where the microbial  
7 counts for veal was really high compared to the  
8 beef.

9 Again, I want to emphasize that again there  
10 are other factors could have contributed really to  
11 that. Based on my experience in my past career,  
12 again especially for plants where there are mixed  
13 slaughtering, the larger and the smaller animals,  
14 there's always the thing about retrofitting the  
15 equipment in order to really be able to wash and  
16 really clean the carcass, the veal carcass, maybe  
17 the feed withdrawal again.

18 And, also based on my experience, the  
19 design of the equipment to clean that carcass, if  
20 it's smaller, you know, it's smaller piece of  
21 carcass compared to some bigger one, that has  
22 something to do with it.

1           And, I think, like what I said, I just want  
2 to share with you my experience in the poultry plant  
3 where we have birds that are not always the same  
4 size, sometimes bigger, sometimes smaller, and I  
5 think that will work specifically for those that  
6 have mixed slaughtering process.

7           DR. SHULTZ: Well, as Dr. Shaw described to  
8 us yesterday, FSIS has already identified some  
9 characteristic practices in veal slaughter such as  
10 hanging off a single hook and some other practices  
11 that certainly limit the application of the pathogen  
12 reduction interventions.

13           So again I think it goes back to dealing  
14 with the unique problems that exist in very small  
15 plants and how we regulate those very small plants  
16 compared to large plants. And then with that,  
17 certain unique practices in veal slaughter, some of  
18 which have already been identified, that will have  
19 to change or they will have to modify to meet the  
20 pathogen reduction intervention requirement.

21           MS. GAPUD: Yeah, I also encourage you to  
22 look at the farm because that has a big effect on

1 what is incoming microbial load when it comes to the  
2 plant to be processed. So if they can look at  
3 what's going on at the farm, that will help a lot.

4 DR. SHULTZ: And we'll be getting to that  
5 in a later comment, a later response.

6 MS. GAPUD: Thank you.

7 DR. SHULTZ: Thank you.

8 MR. PAYNE: We have a response from  
9 Dr. Shaw and then Ms. Harvey.

10 DR. SHAW: I just wanted to also, in  
11 Question 2, ask the Committee to potentially provide  
12 some greater clarification on the sanitary dressing  
13 frequencies because on face value, there seems to be  
14 a conflict between the recommendation in Question 1  
15 and the recommendation in Question 2 around it, and  
16 potentially some additional clarification of what's  
17 really being --

18 DR. SHULTZ: I think that the  
19 Subcommittee's intention was to do a baseline study  
20 as described in Question 2 with at the point the  
21 acceptance that current frequencies that are  
22 established within the requirements for beef are

1 sufficient, but should that baseline study  
2 demonstrate that those frequencies would need to be  
3 changed, that that would be based on the outcome of  
4 that baseline.

5 MR. PAYNE: Next we have Ms. Harvey, and I  
6 also want to remind everyone to speak loudly enough  
7 so the folks in the back here and that side can hear  
8 everyone. Ms. Harvey.

9 MS. HARVEY: Good morning, Dr. Shaw, and  
10 the rest of the Committee.

11 I'm sorry if I missed something, and you  
12 have to excuse me. My mind is not here as it was on  
13 yesterday, but from my understanding, you had people  
14 here from the veal industry, correct?

15 DR. SHULTZ: Uh-huh.

16 MS. HARVEY: Okay. Did they not offer this  
17 expertise on sanitary dressing as you would have  
18 liked? And I also agree with Dr. Shaw. There needs  
19 to be more specification on the sanitary dressing  
20 because as you know, when you get in these  
21 establishments, if it's too broad, they have more  
22 room to just do whatever.

1 DR. SHULTZ: I agree but we do have a very  
2 specific guideline document that's been developed  
3 that I think has taken a very close look at  
4 potential deficiencies in sanitary dressing  
5 procedures and I think that we've had a situation in  
6 the past where there's been somewhat of a  
7 misunderstanding about the frequencies that were  
8 applied because we've basically not sent the signal  
9 through our directives and notices to our in-plant  
10 personnel that this applies to veal as well as beef.

11 So our conclusion was that the tools are  
12 there. It's simply a matter of the message more  
13 than changing frequencies just for the sake of  
14 saying, if we do this more often, it'll go away.

15 MR. PAYNE: Next we have Mr. Warshawer and  
16 then following Mr. Warshawer, Ms. Donley.

17 MR. WARSHAWER: Just a small addition was  
18 to your question, the Question 1 is about changing  
19 generically the regulation and in essence creating  
20 veal as another class of slaughter, and that  
21 dramatic and complex of a step seems premature.

22 There may be reasons over time where given

1 lots more information and more experience with the  
2 existing regulation and guidance being followed  
3 better, that we want to revisit this, but for the  
4 present, we want to be sure that what we know is  
5 properly functioning. And, what we know is, veal is  
6 cattle, and we don't have an indication that that  
7 was clearly communicated to in-plant personnel to  
8 the extent that we could be confident that we really  
9 got a problem.

10           Secondly, the idea of deviating, of setting  
11 different frequencies for sanitary dressing, based  
12 on specific plant performance, gives in-plant  
13 personnel then the opportunity, if a particular  
14 situation warrants it, to make that move. So we  
15 haven't prevented a higher standard from being  
16 brought forward in the sanitary dressing area.  
17 We've simply made it come out of the work with those  
18 specific plants rather than coming out of the  
19 regulation itself.

20           MR. PAYNE: And Ms. Donley or Dr. Shultz,  
21 did you have a response?

22           DR. SHULTZ: No, I'm fine.

1 MR. PAYNE: Okay. Ms. Donley?

2 MS. DONLEY: Yeah, I'm honestly kind of  
3 conflicted on this and a little confused because I  
4 do see where Dr. Shaw had said that there does seem  
5 to be kind of a conflict here. We're saying one  
6 thing in Question 1 is that, no, beef is the same as  
7 veal, and so the frequency shouldn't be different,  
8 but then on Question 2, we're saying, well, let's  
9 run a pilot to see if we should need to look further  
10 at veal as an increased sanitary verification.

11 But, you know, what's going on here is that  
12 there's a should world we're talking about and the  
13 real world. Should there be different stuff for  
14 beef versus veal? And the answer's probably no.

15 But in reality, what's really going on out  
16 there, and do we need to do something different, in  
17 that subset of the beef industry, a subset, that  
18 there needs to be some different actions going on.

19 So I just want to kind of put that out  
20 there for discussion because we've got reality, and  
21 we've got the shoulds.

22 MR. PAYNE: Next, Ms. Buck.

1 MS. BUCK: First of all, in response to  
2 your question about were there people who had some  
3 expertise in the veal and veal practices, and the  
4 answer is yes, and they were immediately invited to  
5 join our discussion during our Subcommittee.

6 And, actually, if you'll look at the last  
7 part of the response for Question 3, we very  
8 strongly recommended the Agency should submit the  
9 modified compliance guidance for stakeholder comment  
10 and suggestion. And I think we could have even gone  
11 further and suggested in the future maybe, NACMPI  
12 would include these people as a regular course when  
13 there's a specific topic like this veal situation.

14 So we feel very much that the information  
15 that we got from the veal people that were present  
16 and participating with us, was very useful and as I  
17 said when I initially asked my first question, I  
18 just was not aware of the hide-on practice and that,  
19 I thought, was very troubling, and I did not know,  
20 if it was troubling enough, if it should be added as  
21 a separate thing.

22 And in response, Nancy, to your concerns,

1 which were very valid, I think part of what we're  
2 doing with the Subcommittee meeting right now is to  
3 try and figure out if there is indeed special  
4 considerations for a subclass such as veal, and I  
5 think this is a real opportunity for FSIS to move  
6 forward when they have identified an area that could  
7 be problematic such as they have done with the veal.  
8 That's just my opinion. Thank you.

9 MR. PAYNE: Thank you. Mr. Waldrop.

10 MR. WALDROP: I also want to make a couple  
11 of points just to give you, Nancy, a little bit more  
12 insight of our discussions.

13 We were saying for both the regulations and  
14 the guidance, not only should FSIS make it clear to  
15 the inspection personnel that this applies to veal  
16 also, but that they should go through and sort of  
17 re-edit the document to pull out the very specific  
18 to veal instances where the inspection personnel or  
19 industry would need to pay special attention.

20 So it's not just make sure you know this is  
21 also veal, but we were wanting certain things to be  
22 highlighted or certain things to be pulled out in

1 sidebars or whatever that would allow the inspection  
2 personnel to recognize that they needed to pay  
3 special attention to this when they're in veal  
4 plants. So we were also trying to give that sort of  
5 emphasis as well.

6           And then on Question 2, that last  
7 paragraph, it was our understanding that this was  
8 something that FSIS was already going to engage in,  
9 where they were going to spend 90 days of intense  
10 verification activities looking at, you know,  
11 instructing inspection personnel to spend a whole  
12 lot of time on sanitary dressing procedures for 90  
13 days, to see if they can't try to address some of  
14 the problems that were identified in that  
15 PowerPoint.

16           And then the idea was if the 90 days works  
17 and the plants are back in control, then that would  
18 have had an effect. If not, and plants are still  
19 not able to control that process, then plant by  
20 plant, FSIS could increase that verification  
21 activity more than the kind of baseline of once  
22 every two weeks. So if the plant was performing

1 well, then that 90 days would have worked. If the  
2 plant is not performing well, the inspector can  
3 continue to kind of keep up that high intensity  
4 verification activity.

5 MR. PAYNE: Thank you, Mr. Waldrop. Next,  
6 Mr. Winchester.

7 MR. WINCHESTER: Mr. Waldrop actually sort  
8 of said the same thing, but I was going to direct  
9 you to the book. The part that came out that was  
10 new is that we have the directive on all cattle, but  
11 then USDA has a notice in draft that's in its very  
12 final that actually is very specific to increased  
13 verification of veal, and that's where this 90 day,  
14 twice a week, that sanitary dressings would be test  
15 performed versus the once every other week in the  
16 standard.

17 So I'm just trying to say that what we were  
18 alluding to was in this 90 day period is that there  
19 is a draft out there that's basically almost ready  
20 to implement for in-plant personnel, and then there  
21 is already specific things for veal. I think that  
22 helps clarify and clear up a lot of what were

1 unanswerd or maybe not quite clear issues in the  
2 general all cattle thing. I guess if you wanted to,  
3 look at that draft under the increased verification  
4 specifically for veal, and then that answers most of  
5 these questions where this comes from, this  
6 verification for the 90 day increase.

7 So I think that covers most of what we're  
8 concerned about in following up that, yeah, if they  
9 still see a problem that they could continue with  
10 that.

11 MR. PAYNE: Thank you, Mr. Winchester.

12 Mr. Derfler?

13 MR. DERFLER: I'd be interested in what  
14 Dr. Shaw has to say on this.

15 DR. SHAW: Just so I understand what I'm  
16 hearing, and I want you all to tell me if what I'm  
17 hearing is what you mean, what I'm hearing is we can  
18 issue the notice and then go through the period of  
19 time, 90 days or more, of increased frequency in  
20 veal slaughter establishments. While that is  
21 happening, assess how it's being implemented, how  
22 our inspection personnel are understanding, any

1 trials and tribulations that go along with that, you  
2 know, and then while we're doing this, we can be  
3 revising and assessing what needs to change in the  
4 long-term inspection procedure, 6410.1 Directive and  
5 be sort of incorporating those veal specific things,  
6 what we're learning from what's happening with the  
7 notice being implemented, and then sort of issue a  
8 new 6410 with the new information that's necessary  
9 for long-term goal. Is that what I'm --

10 DR. SHULTZ: Is the Subcommittee in  
11 agreement? That's how I would interpret it.

12 MR. DERFLER: Just so everybody know, I  
13 mean just to -- this is Derfler. Just so, and I'm  
14 not sure we ever explained this to the Committee. A  
15 directive we issue, when we issue it has no  
16 expiration date. It's intended to be our procedures  
17 or a direction to our field source for the  
18 foreseeable future.

19 Notices are issued with a one-year  
20 expiration date. Sometimes we reissue them. So, I  
21 mean, it's exactly for this kind of purpose where if  
22 we don't know exactly what we want to do but we

1 think we have a pretty good idea, we put out a  
2 notice for a year, get some experience in it, and  
3 then either after the end of the year, maybe  
4 reissuing it once and after two years have a better  
5 sense, that we would then convert it into a  
6 directive which would be the longer.

7 So what you're suggesting sort of fits  
8 right in with how we try to manage our policy.

9 DR. SHULTZ: Okay. Thank you, Mr. Derfler.

10 Question 3: What improvements can be made  
11 to the 2002 beef slaughter compliance guidance  
12 document to address unique aspects of veal  
13 slaughter?

- 14 • Is there guidance that does not apply to  
15 this?
- 16 • Is there guidance that needs to be added to  
17 address unique aspects of veal slaughter?
- 18 • Are there other changes to the guidance  
19 that are needed in addition to the changes  
20 currently under consideration?

21 And we refer back to Question 1 and our  
22 answers in Question 1, which we believe also apply

1 to this question.

2           Additionally, we mentioned the Agency  
3 should make its necessary changes to the compliance  
4 guidance noting the changes and incorporate veal  
5 specific guidance language.

6           The Subcommittee recommends that the Agency  
7 then submit the modified guidelines for stakeholder  
8 comment and suggestion.

9           Comments?

10           Okay. Question 4: Are there differences in  
11 the classes of veal (bob veal, formula fed veal,  
12 non-formula fed veal and heavy calf) that impact  
13 slaughter and should be pointed out in FSIS policy  
14 documents?

15           The Subcommittee recommends that the Agency  
16 confer with ARS or other research providers to  
17 conduct research into pre-harvest risk factors  
18 associated with STEC in veal slaughter. The  
19 Subcommittee also recommends that the Agency promote  
20 research into development of industry best  
21 management practices.

22           As a long-term goal, the Agency should

1 address the animal drug residue challenge in bob  
2 veal calves.

3           So that again, we discussed yesterday about  
4 the concerns that we have regarding various  
5 authorities and where those authorities exist and  
6 what can be done in terms of pre-harvest but I think  
7 our Subcommittee is very acutely aware that there is  
8 certainly a pre-harvest component here, that we  
9 cannot avoid and that I guess we would ask FSIS to  
10 be as creative as possible in finding means of  
11 addressing these critical pre-harvest issues as  
12 applied to veal.

13           MR. PAYNE: Mr. Warshawer has a comment.

14           MR. WARSHAWER: As part of the  
15 Subcommittee, of course, I support our language and  
16 I want to just take a minute to amplify the problem  
17 and the opportunity just a little bit.

18           We know that FSIS responsibilities start at  
19 the receiving pen. We got that. But the public  
20 health problem and the food safety challenge starts  
21 before that.

22           In the spirit of the conversation we had at

1 the end of the day yesterday, I would like as a  
2 member of the Committee, and hopefully with the  
3 support of the whole Committee, to raise as a  
4 challenge that I would like us to hear more about  
5 how you respond to this, that the pathogen loading,  
6 as it may relate to production practice, become a  
7 concern for FSIS and that it begin to be addressed  
8 in some systematic way starting with the challenges  
9 in veal.

10 My hunch is that, and there are other  
11 segments of livestock production where different  
12 production practices impact pathogen loading upon  
13 arrival at the plant, and that there will be other  
14 challenges coming forward such as the antibiotic  
15 residue challenge that will be informed by  
16 production practices.

17 So using this as my toe in the door, I  
18 would like to see us include language that elevates  
19 our concern about this problem and our respect for  
20 the boundaries that FSIS must honor and that  
21 nonetheless, there's a need for something more than  
22 to simply say whatever gets to your door has to

1 leave safe or you can't process it.

2 I don't know a better way to say this. I'm  
3 sure I'm saying it in a complicated and cumbersome  
4 way, but it's not just what we've said here gets it.  
5 We need to say more and we need to hear about what  
6 happens with what we've said.

7 MR. PAYNE: Thank you, Mr. Warshawer. Next  
8 we have a comment from Ms. Buck.

9 MS. BUCK: Patricia Buck from CFI. I would  
10 concur with what Steve just said, and I would  
11 emphasize to FSIS that the Subcommittee did look at  
12 this as a real opportunity for FSIS to sort of  
13 extent its influence or its knowledge base beyond  
14 what you have in the past. It's very clear that  
15 this product, veal, is very heavily tied to the pre-  
16 harvest conditions and practices, and for that  
17 reason, if for no other reason, as an Agency that is  
18 charged with ensuring the safety of the end product,  
19 you should have the right, when you have a product  
20 that's so deeply connected to pre-harvest practices,  
21 to have some authority to go in and examine and look  
22 and make recommendations or perhaps even have

1 regulations about those pre-harvest activities.

2 MR. PAYNE: Thank you, Ms. Buck.

3 Mr. Derfler, do you have a response?

4 MR. DERFLER: Well, actually I guess I'd  
5 like to hear a little bit more and then I'll take --

6 MR. PAYNE: Okay. Our next commenter is  
7 Ms. Klein.

8 MS. KLEIN: Sara Klein from CSPI. I know  
9 the Agency has had several meetings and discussions  
10 with stakeholders about pre-harvest on trying to  
11 strike that balance between what the Agency has  
12 authority to do and doesn't.

13 But, I like the idea of a more directed  
14 charge from this Committee to the Agency, kind of  
15 captured in language that says that the Committee,  
16 just as Steve was saying, that really elevates this  
17 issue to something the Committee believes that it is  
18 important for USDA to work with the other agencies  
19 that are responsible for pre-harvest including other  
20 agencies within USDA that have responsibility there,  
21 to develop pre-harvest strategies and that veal  
22 might be an opportunity to serve as kind of a pilot

1 program for some pre-harvest interventions that  
2 haven't gotten broad acceptance yet such as, for  
3 example, vaccines.

4 And so I would urge the committee to try  
5 and come up with some language that we can actually  
6 put in the document aside from just saying it into  
7 the record, some language that we could put into the  
8 document that would reflect a focus on pre-harvest.

9 MR. PAYNE: Thank you, Ms. Klein. Next,  
10 Ms. Donley.

11 MS. DONLEY: Yeah, I think that this  
12 language can be strengthened a little bit as well,  
13 but I'm also just a little confused and concerned  
14 that we're saying, hey, yeah, let's look at pre-  
15 harvest which I think is really, really necessary  
16 but we're not give FSIS any direction of what do  
17 they need? The question here is asking does FSIS  
18 need to point out in its documents the differences  
19 in these classes. And so did you have any  
20 discussion about that?

21 I mean the pre-harvest thing I think is  
22 just fine, but I don't think we're helping FSIS

1 here. They've asked the question, do we need to be  
2 doing something different?

3 I don't know if that came up in your  
4 conversations.

5 MR. PAYNE: Thank you, Ms. Donley. Dr.  
6 Tilden.

7 DR. TILDEN: Yeah, I think this goes back  
8 to the whole idea of a farm-to-fork strategy which I  
9 believe everybody has endorsed. The devil's in the  
10 details as we all know, and in an era of reduced  
11 public sector resources, how do we most efficiently  
12 and effectively pursue that strategy.

13 And coming from a State Agency whose  
14 resources have been reduced, reduced, reduced over a  
15 decade, it's nice to throw out you should do and you  
16 should do more, but I think we've got to figure out  
17 what isn't going to get done so that if this is high  
18 enough priority, how do we identify the less  
19 effective activities, and if this is a higher  
20 priority, how do we put this on the agenda.

21 I like the idea, if it's outside the scope  
22 of regulatory authorities, doing collaborative pilot

1 projects but you still have to figure out where the  
2 resources are going to come from and how do you  
3 decide the relative priority for this.

4           And I personally believe that the pre-  
5 harvest area is critically important and we can't  
6 ignore it, but I think you're not going to get  
7 something for nothing just by saying do it above and  
8 beyond what you're already doing.

9           MR. PAYNE: Thank you, Dr. Tilden. Next  
10 Dr. Reinhard.

11           DR. REINHARD: I really appreciate the  
12 Committee's discussion on the topic, and I just want  
13 to make sure that we are keeping the horse in front  
14 of the cart because I'm still not sure that we know,  
15 and if somebody has the expertise and we do know,  
16 that the pre-harvest practices are actually the  
17 place to really make an impact.

18           I know, and certainly intellectually I  
19 understand that they potentially have a very big  
20 effect, and it may be the issue that's going on  
21 here, but doesn't the Subcommittee in Question 4 say  
22 do that research and show what that is?

1           And then once we had that research, then  
2 how a regulatory agency would incorporate that into  
3 what they do and how they verify the production say  
4 for the products would then be able to come forward.

5           I don't want to in any way infer that what  
6 was suggested isn't something that certainly the  
7 Agency had challenges with and we had challenges  
8 with and improvements can be made, but I think for  
9 this particular question, the first step is to look  
10 at what does the science tell us and then go.

11           MR. PAYNE: Thank you, Dr. Reinhard. Next  
12 we have Mr. Warshawer.

13           MR. WARSHAWER: I'm just wondering if Mr.  
14 Derfler would comment yet.

15           MR. DERFLER: Okay. Let me just talk a  
16 little bit about what we've done in pre-harvest  
17 because I mean obviously our interest is to try and  
18 make sure there's as few pathogens in the product  
19 that we ultimately put our mark of inspection on as  
20 possible and if pre-harvest contributes to that,  
21 then it's important.

22           We don't have authority in pre-harvest, but

1 we've done two or three things I think.

2           First of all, we have had at least two  
3 public meetings that I can think of, one in 2006 on  
4 poultry and the other within the last year or so on  
5 beef, on pre-harvest practices that would improve  
6 the safety of the product.

7           We did the beef meeting with APHIS and I  
8 think AMS or ARS, I'm sorry, with ARS to try and  
9 help that.

10           So we have had public meetings. I think  
11 we've gotten mixed results from those. The one on  
12 beef sort of tended to focus on whether or not the  
13 right vaccines were being approved and I'm not sure  
14 how much they dealt with on actual production  
15 practices, but we're obviously only interested in  
16 that.

17           The other thing that we've done, and we did  
18 it with respect to residues, is we did a guidance  
19 document to slaughter plants focusing on things that  
20 they can do to ensure that the animals that they are  
21 buying did not have illegal residues in them, and  
22 the illegal residues obviously are introduced pre-

1 harvest.

2           So we tried to provide advice to them on  
3 things that they could do with their suppliers to  
4 try and minimize the risk of illegal residues, and  
5 that's actually been fairly effective. Some of the  
6 auction barns have changed their practices as a  
7 result of the guidance that we've put out and so  
8 that turned out to be pretty effective.

9           So I'll just say we're interested in ideas,  
10 and we intend to continue to pursue this but our  
11 authority does, as you said, start when animals are  
12 being held for slaughter.

13           MR. PAYNE: Thank you, Mr. Derfler. Next  
14 we have Dr. Rybolt.

15           DR. MARCY: John Marcy, University of  
16 Arkansas.

17           MR. PAYNE: Sorry.

18           DR. MARCY: Yep, that's okay. I can  
19 appreciate what Mr. Derfler was saying but, you  
20 know, if we look at the scope of what seems to be  
21 the issue here, it seems like it's fairly amenable  
22 to being handled within the regulatory authority of

1 FSIS by just getting the plants to, you know, do  
2 what they're supposed to today.

3           There may be some issues that, you know,  
4 need further elucidation but I think there may be  
5 opportunities for research at the pre-harvest but,  
6 you know, this doesn't seem to be a huge public  
7 health impact.

8           Dr. Liang called CDC and it doesn't show up  
9 on their radar as something that is an issue.

10           MR. PAYNE: Thank you, Dr. Marcy. Next,  
11 Ms. Donley.

12           MS. DONLEY: Okay. I think we'd be remiss  
13 as a Committee if we were to just end our response  
14 with what is here and just say with the pre-harvest,  
15 which I think is terrific, what the Subcommittee  
16 came up with here.

17           But basically Question 4 is a yes or no  
18 question. Are there differences that need to be  
19 pointed out in FSIS policy documents? If the answer  
20 is yes, then yeah, let's do it. If the answer is  
21 there are no differences, then, no, it doesn't have  
22 to be, but I think, and not having been in that

1 Subcommittee and not knowing a whole heck of a lot  
2 about this, that if the question's being asked, I  
3 have a sneaking suspicion that there are some  
4 differences that need to be pointed out, and that  
5 FSIS frankly has identified somehow that there are  
6 differences and they do need to be, and I think we  
7 should empower FSIS to do that, to point out these  
8 differences in their documents, if the answer is  
9 yes.

10 MR. PAYNE: Thank you, Ms. Donley. Next,  
11 Ms. Buck.

12 MS. BUCK: Patricia Buck with CFI. I think  
13 the intention of the Subcommittee was to again give  
14 an opportunity for moving FSIS into a new direction.  
15 Yes, there are differences, and we did not state  
16 that in the response, and perhaps we should amend it  
17 to include that simple statement.

18 But it's because there are differences and  
19 there is an opportunity right now to test the waters  
20 to see if FSIS can move forward into addressing a  
21 problem that is, from the sense that we got, in  
22 talking to the various experts that were

1 participating yesterday, that there are pre-harvest  
2 conditions that are very much associated with veal  
3 production. And because of that, it's time to see  
4 if FSIS, when they have a very specific topic that  
5 has a high association, can move with approval from  
6 this Committee into those areas.

7           So while I appreciate some of the comments  
8 that Bob made, I really feel that the time is ripe  
9 to take this step now. I agree with Sarah. Perhaps  
10 we could strengthen this, but we were trying to hit  
11 sort of a balance, accord, of things that we felt  
12 the Agency can do.

13           Because they are limited, they do not do  
14 their own research, and so asking them to go out to  
15 ARS or other USDA agencies or asking them to do  
16 collaborative work or pilots with other research  
17 providers, we felt that might be one way for us to  
18 expand the capabilities of FSIS. I still think it's  
19 worth a shot.

20           MR. PAYNE: Thank you, Ms. Buck. Next,  
21 Dr. Shultz.

22           DR. SHULTZ: This is a bit of a follow up

1 to what Mr. Derfler said. After many years engaged  
2 in working in the National Residue Program and  
3 trying to see improvements at the plant level in  
4 reducing that problem and controlling that problem  
5 in the plants, we had many attempts at pre-harvest  
6 efforts that were very, very difficult because of  
7 authority, and that's why I made the comments that I  
8 made yesterday regarding that, and over the years of  
9 trying to work with dealing with the residue  
10 situation, I learned that the best that we could do  
11 was to provide the regulatory consistency in the  
12 plant with regard to surveillance, with regard to  
13 feedback to producers, with regard to the  
14 application of the HACCP system in the plant, so  
15 that the plant was taking its responsibility and  
16 identifying this as a hazard reasonably likely to  
17 occur, and completing the circle of HACCP  
18 compliance.

19 That was much more successful than many  
20 very, very well intended efforts to address pre-  
21 harvest when we didn't have the authority to.

22 Another consideration that somewhat changed

1 my thinking, and I will freely admit that I came  
2 into this after having read these documents, with  
3 the conclusion that there are significant pre-  
4 harvest factors, and with those, in consideration of  
5 those pre-harvest factors, what should the approach  
6 be?

7           But then after Dr. Shaw's report where he  
8 compared the two segments of the veal industry, the  
9 bob veal where there's basically no producer grow  
10 out feedback pre-harvest interventions on the part  
11 of the industry, and we look at formula fed veal  
12 where there's extensive pre-harvest grow out,  
13 technician people out in the field working with the  
14 growers, providing best management practice  
15 recommendations to those growers, but when we look  
16 at the results of the two classes, they're the same  
17 with regard to your microbial results. So that  
18 somewhat changed my thinking.

19           So I'll end there, but that's why I  
20 basically am not strongly convinced that because of  
21 the authority issues, that we can do what we'd like  
22 to do.

1           MR. PAYNE: Thank you, Dr. Shultz. Next,  
2 Ms. Klein.

3           MS. KLEIN: Okay. I wanted to make one  
4 point, and then offer some proposed language.

5           So I just wanted to respond a little bit to  
6 what John said about the outbreak data and whether,  
7 in fact, this is a human health hazard.

8           I don't think that it's fair to  
9 characterize the lack of veal outbreaks present in  
10 the CDC database as evidence that there is not a  
11 human health risk. I think the likelihood, as we  
12 discussed a little bit yesterday, is that those  
13 outbreaks are being captured in the larger category  
14 of beef outbreaks. I think that there's no easy way  
15 for investigators to categorize things as veal  
16 unless the consumer says, I happen to know that I  
17 ate a veal chop and that that becomes noted in the  
18 data. So I just want to clarify that. I think  
19 there are probably more outbreaks out there than we  
20 are aware of.

21           So I have a suggestion for language to  
22 strengthen this area. I guess I would insert this

1 at the beginning of the response to Question 4. The  
2 Committee believes that pre-harvest is a critical  
3 control point for pathogen contamination. USDA  
4 should continue to focus efforts on strengthening  
5 interventions on the farm including the Agency  
6 should seek additional authority from Congress to  
7 regulate in that area.

8 Authority is not absolute and forever, as  
9 we've learned with PHMSA and FDA, and so I think  
10 that the discussion doesn't need to end with USDA  
11 doesn't have the authority to do pre-harvest. A  
12 discussion could continue with what if they did?

13 MR. PAYNE: Thank you, Ms. Klein. We'll  
14 continue in order. Maybe there will be some  
15 responses to your proposed language there. Next is  
16 Mr. Warshawer.

17 MS. KLEIN: If we had the computer, we  
18 could -- that's still not working.

19 MR. PAYNE: They are still trying to get  
20 the projection working.

21 MR. ALMANZA: I wrote it down in shorthand.

22 MS. KLEIN: Oh, okay. You left off that

1 last part.

2 MR. WARSHAWER: Steve Warshawer. That's a  
3 great help for what I wanted to say because I like  
4 the language except I feel we're entering a time  
5 where authority is not always the answer because  
6 authority without resources isn't worth a whole heck  
7 of a lot.

8 So in this case, we've got an industry  
9 that's very interested and willing to engage this  
10 process. Instead of focusing on seeking authority  
11 from Congress, I would focus on collaborating with  
12 industry and other relevant stakeholders. And out  
13 of that process could come an eventual need for  
14 authority, but right now what we need is better  
15 data, better research, more information and we've  
16 got to find ways to get that without cost and  
17 without a laborious, burdensome, time consuming  
18 process which have their own sets of costs.

19 So again, this is the opportunity of veal,  
20 which is a smaller industry segment, very engaged  
21 with their process here with us as evidenced by  
22 participation here at this meeting, and that if we

1 want to make a general statement about be willing to  
2 seek additional authority, that's fine, but in this  
3 immediate instance, what we need is FSIS to work  
4 with industry partners to get at the data that would  
5 help support more specific requirements as needed.

6           And I don't know if it's appropriate or  
7 fair with the Committee process to ask other people  
8 in the room to comment, but I'm sort of volunteering  
9 based on conversations in the Subcommittee yesterday  
10 in which we brought industry folks right to the  
11 circle of the Subcommittee to be sure that we  
12 understood, you know, what their input would be, and  
13 I think we would be able to do a lot in this  
14 particular area with industry without needing to go  
15 for authority or time consuming external processes.

16           MS. DONLEY: Are you suggesting an in the  
17 meantime-like notion to what's there?

18           MR. WARSHAWER: I would say be willing to  
19 seek additional authority, not that we require that  
20 as an immediate step, and that in the interim, that  
21 we ask FSIS to work with industry stakeholders to  
22 get at the necessary data and information that would

1 help create better outcomes beginning at the  
2 receiving pen.

3 I mean I don't want to get sidetracked into  
4 the ideology of, you know, government versus  
5 industry. I want outcomes, you know, I want  
6 information and I think FSIS collaboration with  
7 industry or other stakeholders, it could be other  
8 groups with scientific and research capacity, but if  
9 we always push this back and forth to the regulatory  
10 approach, it's slow, it's expensive, and it skips  
11 the step of what can we do directly with the  
12 involved parties? And I really want to see that  
13 step followed first.

14 MR. PAYNE: Thank you, Mr. Warshawer.  
15 Next, Dr. Chen.

16 DR. CHEN: Fur-Chi Chen from Tennessee  
17 State University.

18 Yeah, I'd just like to point out one point  
19 in terms of the research capacity, you know. In the  
20 response, we pointed out the pre-harvest risk  
21 factors. I mean, maybe it will be an important  
22 contribution to the heavy contamination.

1           And, of course, FSIS doesn't have any  
2 research or authority into this area, but we can  
3 look at from -- I mean, of course, with ARS and  
4 other research funding, I mean I think we may be  
5 aware but we still need for every program. I mean,  
6 you know, if FSIS can communicate with the national  
7 program reader in the -- you know, especially in the  
8 animal area, in the pre-harvest program and we had  
9 the research priority in there and, you know,  
10 actually that will help out I mean to answer some of  
11 the concern.

12           MR. PAYNE: Thank you, Dr. Chen.

13           Next, Dr. Shaw, did you have a response?

14           DR. SHAW: I just wanted to say, from the  
15 point of view of the research recommendations, I  
16 think we're interested in those longer term research  
17 recommendations because we do have on our website  
18 now a list of research priorities that we provide to  
19 our various partners that conduct research, and so  
20 we'll be looking forward to assessing what we  
21 currently have on our research priorities list with  
22 what the recommendations of the Committee are, so we

1 can see where there are opportunities.

2 My mic, I don't know if it's working or  
3 not.

4 But I guess I would urge the Committee that  
5 from a policy point of view, as Steve talked about,  
6 I think in short and long-term, and so I hear the  
7 research, that's a long-term thing for us always.  
8 Research is long-term.

9 But I would urge the Committee to think  
10 along the lines I think of what Ms. Donley was  
11 talking about, in short-term, as to, you know, with  
12 the notices coming out, and in the shorter term, are  
13 there things at slaughter. So, you know, animals  
14 coming in the door at anti-mortem, at post-mortem,  
15 practical things that we know now that we can point  
16 out to processors and to our inspection personnel to  
17 look for in the actual practice of slaughtering the  
18 animal right now.

19 And so maybe I should have worded the  
20 question a little differently now that I've heard  
21 you all talk about it, but I think there's an  
22 opportunity for two things here, a short-term and a

1 long-term. And I'm hearing the long-term. I'm  
2 hearing it. And so maybe there's something that can  
3 be thought about more short-term, immediate.

4 MR. PAYNE: Thank you, Dr. Shaw.  
5 Dr. Lorenzen.

6 DR. LORENZEN: I just want to respond to  
7 Sarah about getting Congressional authority for pre-  
8 harvest. If we do that for veal, it's going to be  
9 for all classes of livestock and poultry, and not  
10 all those animals are destined to be inspected. I  
11 just don't even see how that could be possible  
12 because we have all different classes. We have  
13 state inspection. We have federal inspection. We  
14 have custom exempt. They would have authority over  
15 everything because you as a producer don't have to  
16 decide until you take it to the plant how it's going  
17 to be inspected, until you decide where you're going  
18 to take it.

19 MR. PAYNE: Thank you, Dr. Lorenzen. Next  
20 is Ms. Buck.

21 MS. BUCK: This is Pat Buck, and I  
22 appreciate your comments about the long-term for

1 research, and I appreciate the comments that Nancy  
2 made about we needed to specifically answer that.  
3 So, we may, Dr. Shultz, be interested in modifying  
4 our guideline a little bit.

5           As far as what Sarah has proposed, I think  
6 it's really, really important when we look at food  
7 safety, as has already been noted, it is a farm-to-  
8 fork continuum. And we have a unique opportunity  
9 with this NACMPI Committee to actually suggest that  
10 FSIS have authority to go further into pre-harvest.

11           It's going to be very rare that you're  
12 going to have four consumer groups sitting on NACMPI  
13 at the same time, and all of us that work in food  
14 safety, and I'm sure many other people around this  
15 table as well, recognize that this has been a huge  
16 limitation that USDA does not have the authority to  
17 go on the farm.

18           And while it's very clear to me what Carol  
19 has said, if we do that, we are expanding it hugely,  
20 and we don't have the resources actually to do the  
21 jobs that we have been already assigned to.

22           So while I would like to go to Congress and

1 say, we need to have pre-harvest recommendations for  
2 FSIS, like we do for, you know, FDA food, I think it  
3 would be a good thing to have the recommendation  
4 that FSIS could talk about it. I see the obstacles  
5 for security that is going to be very, very large  
6 mostly because we don't have the resources, but  
7 because we don't have the resources to do the job  
8 does not mean that we should not make the  
9 recommendation. We're supposed to be helping FSIS  
10 achieve what it needs, and I think you need  
11 authority to go into the farm.

12 MR. PAYNE: Thank you, Ms. Buck. Next,  
13 Dr. Tilden.

14 DR. TILDEN: So I think our discussions  
15 have brought up again a multiyear discussion of  
16 acknowledging the reality of limitations of  
17 authorities and resources, and that we revisit that  
18 from time to time. And I think a number of us do  
19 recognize that this is an opportunity to not let the  
20 pre-harvest food safety issue drop.

21 But I do think we have to be careful about  
22 not wandering too far afield from the charges that

1 we were given, and so I think at the very least what  
2 we could do is we could say that there was a number  
3 of members who felt strongly that the importance of  
4 pre-harvest food safety needs to be not forgotten,  
5 and we can include that in the report.

6 But I do think, again going back to my  
7 point about resources, is more important things that  
8 could have a more direct impact could get lost if we  
9 diverted our focus, and that's my concern.

10 I think in the short-term, what we could do  
11 is we could say in this Question 4 response that,  
12 yes, there are differences to Nancy's point, and I  
13 think what FSIS can do is keep it on the radar  
14 screen in the rollout of whatever materials they do,  
15 that pre-harvest needs to be addressed. You work  
16 with ARS for the research. You work with industry  
17 to develop the best practices but recognize that  
18 that's outside the framework of regulatory mandate,  
19 and I think trying to push for regulatory mandates  
20 for pre-harvest at this point might be premature.

21 MR. PAYNE: Thank you, Dr. Tilden. Next,  
22 Ms. Klein.

1 MS. KLEIN: So I obviously still think that  
2 the inclusion of language that says, if necessary,  
3 USDA should be willing to seek additional authority.  
4 It doesn't say this Committee thinks that you have  
5 to. It just says let's not take that option off the  
6 table prematurely. So I still think that that  
7 should say that.

8 But as a more immediate first step, kind of  
9 adding on that, I would put as a first step, FSIS  
10 should convene a series of stakeholder meetings with  
11 veal stakeholders specifically, with the stated goal  
12 of reducing contamination of veal samples with  
13 pathogens at slaughter to levels comparable with the  
14 beef by 2014.

15 So giving a timetable for this  
16 collaborative discussion that Steve is mentioning  
17 for this, working with industry and talking about  
18 ways to improve pre-harvest without regulatory  
19 authority, okay, that's all well and good, but let's  
20 say that this Committee thinks that that shouldn't  
21 be theoretical, that we're envisioning a series of  
22 stakeholder meetings that occur, you know, within

1 the next 6 to 9 months with the goal of instituting  
2 these changes and seeing what happens.

3           To me it's a slightly more specific  
4 approach that could be included in part because I'm  
5 not sure that the members of this Committee have the  
6 exact language that they're looking for in response  
7 to Question 4 of, you know, here's what exactly you  
8 should do at the door of the slaughter plant. I  
9 certainly don't, and so there may be members of the  
10 Committee that do, and they should offer those, but  
11 to me the meetings or working together would be a  
12 medium term goal, not long-term like Congressional  
13 authority, not short-term like what should producers  
14 do at the door of the facility.

15           So I'm offering that concrete language for  
16 inclusion in the document.

17           MR. PAYNE:           Thank you, Ms. Klein.  
18 Dr. Rybolt, you were next.

19           DR. RYBOLT:   So one of the things that was  
20 brought up was the data Dr. Shaw's group presented  
21 to us, or that Bill presented, and then his group  
22 followed up on.   In the dataset, there were two

1 plants that were part of the STEC I believe, and  
2 then, I mean of the O157, the veal plants, and  
3 three. So it was a limited dataset, not saying that  
4 there's not a potential problem, but the data was  
5 just broken out last fall I think is when it  
6 actually started being broken out. And the  
7 industry, to commend them, the group that we had  
8 here with us, they've actually started to address  
9 or, you know, maybe they were doing some stuff  
10 before, but they indicated that they've gotten  
11 together and they're working on some stuff.

12 So I like Sarah's, you know, suggestion  
13 that they have stakeholder meetings, things like  
14 that, at the same time we have the follow up that's  
15 going to be done. That's what the Subcommittee  
16 discussed, is continue with the 90 days, collect  
17 more data, because we do have limited data. You  
18 could visit 9 of the 32 facilities. I don't  
19 remember the sizes. We did talk about that.

20 So there is a little bit of a data gap  
21 right now. We need to collect that data. So I  
22 think in light of, you know, asking for additional

1 regulatory authority, we need to understand exactly  
2 what is going on first because it may be with these  
3 follow ups and filling that data gap, that the issue  
4 is actually addressed.

5 MR. PAYNE: Thank you, Dr. Rybolt.

6 Ms. Gapud, you're next.

7 MS. GAPUD: I want to say that I really  
8 agree with Patricia Buck's opinion. If we are  
9 sincerely after food safety, this is the best  
10 opportunity for us to move on. This opportunity  
11 seldom comes and here we are on this veal issue. If  
12 we want to really do something from farm-to-fork,  
13 this is the way it should be. So again, we should  
14 not let that opportunity go away. So I feel that we  
15 should move on.

16 And, I also agree with what Sarah said  
17 about doing something. We cannot just forget about  
18 this, you know, but we have to move on and we have  
19 to have a follow up on what is really happening and  
20 not just talking and discussing it without knowing  
21 after our meeting today.

22 MR. PAYNE: Thank you, Ms. Gapud.

1 Ms. Harvey.

2 MS. HARVEY: I think this Subcommittee has  
3 done a great job considering all this. However, I  
4 just sit back and looking at these recommendations,  
5 and I feel as though they're really just not  
6 concrete as they should be. The language is sort of  
7 a problem. The recommendations and the options  
8 given are not as specific as I think they should be.  
9 I feel as though it's pretty much sending the Agency  
10 on a wild ride or at the least a U turn instead of  
11 offering more realistic short-term and long-term  
12 goals. Definitely Congress I feel as though is time  
13 consuming and is not what it should be of a  
14 solution, but just more specific and more work-  
15 driven goals I would say.

16 Just to put it simply, honestly I don't  
17 know, but I feel as though we should have more  
18 short-term and long-tem goals and something should  
19 definitely be done, more resources and information.  
20 Working with the Agency I think is a great route to  
21 go as Steve pointed out. Thank you.

22 MR. PAYNE: Thank you, Ms. Harvey.

1 Dr. Reinhard?

2 DR. REINHARD: In the interest of trying to  
3 move forward, I'd like to go back to what Dr. Shultz  
4 has as potentially language that could be considered  
5 by the Subcommittee and the whole Committee to go in  
6 here, and so I want to go back to what Sarah stated  
7 the last time and ask her to restate it, just the  
8 last sentence because it doesn't sound like for a  
9 lot of the other there's necessarily consensus. But  
10 the stakeholder meeting being fairly specific,  
11 stating what needs to be done, giving FSIS an  
12 objective, consider it. We would then see about  
13 moving on. A lot's been put on the record, and  
14 that's appropriate for FSIS to review that to see  
15 what's important but maybe we could do that.

16 MS. KLEIN: So the sentence I had was FSIS  
17 should convene a series of stakeholder meetings with  
18 veal stakeholders specifically with the stated goal  
19 of reducing contamination of veal samples with  
20 pathogens at slaughter to levels comparable with  
21 beef by 2014.

22 MS. BUCK: Would you repeat that?

1 MS. KLEIN: Also I just want to ask Phil if  
2 that kind of directive is useful for you all or that  
3 kind of advice is useful? I like when you guys nod.  
4 That makes me feel like I'm on the right track.

5 UNIDENTIFIED SPEAKER: It's lightning  
6 speed. That's a short-term goal really.

7 MR. DERFLER: Okay.

8 MS. KLEIN: Right, that's a short-term goal.

9 DR. REINHARD: I mean like I've said a  
10 number of times, yeah, we're interested in whatever  
11 input, but certainly that would be, you know, we're  
12 going to consider what we get from you. So, yes,  
13 yes.

14 UNIDENTIFIED SPEAKER: Sarah, could you  
15 repeat your last sentence please?

16 MS. KLEIN: Sure. FSIS should convene a  
17 series of stakeholder meetings with veal  
18 stakeholders specifically, there's probably a better  
19 way to say that, with the stated goal of reducing  
20 contamination of veal samples with pathogens at  
21 slaughter to levels comparable with beef by 2014.  
22 I'm looking specifically at the chart on page --

1 where was that chart that had those startling  
2 samples? On page 2 of the PowerPoint that was given  
3 yesterday that had the startlingly high samples of  
4 STEC in veal. We can wordsmith it certainly. I was  
5 just jotting that down.

6 MR. PAYNE: This is Keith Payne here. My  
7 suggestion, during the break, we will bring in a  
8 laptop with an overhead projector. Whatever the  
9 consensus of the Committee is here on the revised  
10 language, we'll make the revisions to the document  
11 and then we can show the revised draft to the whole  
12 Committee.

13 Next in line, Dr. Tilden.

14 DR. TILDEN: I think actually I like the  
15 idea what Sarah's proposed, but I do think we have a  
16 sparsity of data for decision making, and we said on  
17 day one, that the whole purpose of the focus is  
18 better use of data for decision making.

19 So Dr. Rybolt had made the point of, if we  
20 coupled what Sarah's talking about with a commitment  
21 to increased focus on data gathering so that those  
22 are informed discussions, I think that would be

1 helpful.

2 MR. PAYNE: Thank you, Dr. Tilden. Next,  
3 Ms. Donley.

4 MS. DONLEY: Thank you. I'm sorry. I'm  
5 going to keep coming back to this and coming back to  
6 this. We as a Committee here have been asked a very  
7 specific question that should be given a specific  
8 answer, and the other things that have come up for  
9 discussion, I think are really terrific and should  
10 be included in our response.

11 But I would suggest that we kind of come up  
12 with either a four pronged, four bulleted, ranked 1  
13 to 4 with the first one being FSIS should articulate  
14 the differences in classes of veal in its policy  
15 documents. That specifically answers the question.

16 Then number 2, FSIS should work with ARS on  
17 additional research for pre-harvest factors.

18 Number 3 would be Sarah's stakeholder  
19 meeting language.

20 And, number 4 is FSIS should seek pre-  
21 harvest authority from Congress.

22 MR. PAYNE: Thank you, Ms. Donley.

1 Mr. Waldrop?

2 MR. WALDROP: I agree with Nancy's  
3 suggestion that we do need to answer the question,  
4 and I think the way you have worded it is sort of  
5 half the answer, but part of the other half is that  
6 we don't have, as we were discussing in  
7 Subcommittee, I didn't feel that we had sufficient  
8 information to say this one, this one, this one are  
9 exactly different but that the data was showing that  
10 both of them pose the same risk.

11 So I think part of what the stakeholder  
12 meeting that Sarah's proposing could actually also  
13 inform that same question, provide the Agency with  
14 the different information. So kind of the way  
15 you've phrased it, I think then allows FSIS to  
16 gather more information to be able to answer that  
17 question and articulate those differences in the  
18 document because I think if they do have more  
19 information and they can then articulate them, I do  
20 think those need to be noted. I just don't think we  
21 had that information in our Subcommittee to be able  
22 to make that determination which also may explain

1 why we kind of punted this question.

2           And then I'd like to wordsmith some of  
3 Sarah's stuff, but I can do that either now or I can  
4 do it when we get a laptop.

5           Just a couple of quick things. I don't  
6 think we want to reduce contamination of the  
7 samples. I think we just want to reduce  
8 contamination of the veal itself.

9           MS. KLEIN: Right.

10           MR. WALDROP: And I don't think we should  
11 make it levels comparable with beef. I think we  
12 should drive the levels down to protect the public  
13 because, you know, I don't think the beef levels are  
14 all that perfect.

15           MS. KLEIN: Right. Okay.

16           MR. PAYNE: Thank you, Mr. Waldrop.  
17 Dr. Shaw.

18           DR. SHAW: I guess I just wanted to ask the  
19 Committee a question because we haven't talked about  
20 Question 5 yet, and I'm just wondering if Ms.  
21 Klein's comments are more appropriate for Question 5  
22 or should they stay in Question 4?

1 DR. SHULTZ: Okay. Question 5: What  
2 innovative strategies can the Agency use to help  
3 industry (comprised of small and very small  
4 establishments) and FSIS personnel better understand  
5 the needs for slaughtering animals used to produce  
6 veal products?

7 Our response was the Subcommittee  
8 recommends that the Agency works within its small  
9 and very small plant outreach division to develop  
10 communications targeted to veal slaughter  
11 establishments. The material developed should be  
12 short and concise where possible. The guidance and  
13 tools should include visual materials, plain  
14 language such as non-regulatory guidance documents.

15 FSIS should also develop webinars, DVDs,  
16 regional meetings and partnering with State  
17 Extension Services and other appropriate venues to  
18 deliver this information.

19 MR. PAYNE: Any comments or responses?  
20 Ms. Klein.

21 MS. KLEIN: Honestly, I think that the  
22 statement that I read before about the stakeholder

1 meetings should be an introduction to the entire  
2 issue of the Veal Subcommittee, and I think that  
3 these specific answers are what the Agency's seeking  
4 on these specific questions and I think the  
5 suggestion of having a series of stakeholder  
6 meetings with a stated goal but that the meetings  
7 themselves are designed to elicit more information  
8 and have these discussions in more depth, is a  
9 threshold issue. So I would propose putting that  
10 sentence at the beginning of an introduction to this  
11 issue, and then answering these specific questions,  
12 in part strengthening the answers to Number 4 as  
13 Nancy stated, but I don't think that the response to  
14 Question 5 replaces the vision that I had.

15 MR. PAYNE: Thank you, Ms. Klein.

16 Dr. Shultz is the Chair of this  
17 Subcommittee. What I propose --

18 DR. SHULTZ: I believe what we would  
19 propose is that we meet at some point, some  
20 designated time, and rework the language for the  
21 answer to Question 4.

22 MR. PAYNE: And we can do that very shortly

1 if everyone is ready for a break. We will get the  
2 laptop set up so we can combine notes from the  
3 various committee members, notes that we've taken to  
4 make sure it reflects what the consensus is and then  
5 repost that after the break.

6 DR. SHULTZ: Okay.

7 MR. PAYNE: So I propose -- Mr. Warshawer.

8 MR. WARSHAWER: Other than it be strictly  
9 Subcommittee, could we do that wordsmithing with  
10 open invitation to anyone who's interested in  
11 helping like draft it or does it have to be  
12 strictly --

13 MR. PAYNE: Yeah, I think we would need  
14 Sarah's and other person's input.

15 MR. WARSHAWER: Okay.

16 MR. PAYNE: Okay. So what we'll do is take  
17 a break. We are probably 10:30. So we'll reconvene  
18 at 10:45.

19 (Off the record at 10:35 a.m.)

20 (On the record at 11:00 a.m.)

21 MR. PAYNE: Okay. If we may have  
22 everyone's attention, we'll resume our meeting. And

1 Subcommittee 1 has made their collective revisions  
2 to their position paper here, their recommendations,  
3 and I will turn it over now to Subcommittee Chair,  
4 Dr. Craig Shultz to lead the discussion on the  
5 revisions to the document. And we'll open up for  
6 discussion, final, you know, consensus from the  
7 whole Committee and voting from the whole Committee  
8 on the final document.

9 Ms. Williams will be up here at the podium  
10 to make any wordsmithing or do any wordsmithing or  
11 edits. So with that said, I'll turn it over to you,  
12 Dr. Shultz.

13 DR. SHULTZ: Okay. So we're discussing  
14 Question 4, our response to Question 4, and we've  
15 also added some language at the end of the document.  
16 So this is currently what we have for our response  
17 to Question 4.

18 And then maybe if you could drop down,  
19 Natasha, and this was some of the language that was  
20 introduced to be added at the end of the document.

21 MR. PAYNE: Mr. Gapud and Mr. Winchester,  
22 Mr. Warshawer, Ms. Klein, those of you on that side,

1 feel free to come up, walk up. We do have a roving  
2 mic. We have two roving mics, you know, for you to  
3 use to make any comments.

4 DR. SHULTZ: Okay. All right. Let's go  
5 back to Number 4, and we'll just read Number 4.

6 The response to Number 4, the Subcommittee  
7 recognizes there are specific challenges that impact  
8 slaughter with each veal classification, but the  
9 data currently provided by FSIS is insufficient to  
10 define risks among the various classes.

11 The Subcommittee recommends that the Agency  
12 confer with ARS and other research providers to  
13 conduct research into pre-harvest risk factors  
14 associated with STEC in veal slaughter. The  
15 Subcommittee also recommends that the Agency promote  
16 research into the development of industry best  
17 management practices. As a long-term goal, the  
18 Agency should address the animal drug residue  
19 challenge in bob veal calves.

20 This is the current response to Question 4.

21 If we're okay with that, we'll go down to  
22 the end of the document and this is still going to

1 require some revision, but we'll go through what was  
2 in there. There we go.

3           Okay. The Subcommittee recommends that the  
4 Agency works with the small and very small plant  
5 outreach division to develop communications targeted  
6 with veal slaughter establishments. The material  
7 developed should be short and concise where  
8 possible. The guidance and tools should include  
9 visual materials, plain language documents including  
10 plain language non-regulatory guidance documents.  
11 FSIS should also develop webinars, DVDs, regional  
12 meetings, and partnering with State Extension  
13 Services and other appropriate venues to deliver  
14 this information. That was the language that was  
15 there previously.

16           Okay. Language that we finally came up  
17 with and we realize that what struck up there on the  
18 top, we have to incorporate some of the language  
19 from that into the language here at the bottom, but  
20 additionally, the Agency should plan and conduct a  
21 series of stakeholder meetings to facilitate  
22 knowledge sharing and capturing to more fully fill

1 the data gap that exists for this specific class of  
2 beef. So we need an S on exists.

3 Okay. The Committee recognizes the need  
4 for pre-harvest interventions and should, in  
5 addition to the above stated research plan, ensure  
6 discussions at stakeholder meetings, and there we  
7 need to add multidisciplinary -- multiagency,  
8 multidisciplinary meetings, in front of stakeholder  
9 meetings. Multidisciplinary, multiagency  
10 stakeholder meetings on this topic.

11 Further, the Committee recognizes the  
12 potential difference within the veal class and as  
13 such, should likewise focus efforts at stakeholder  
14 meetings on this topic with the intent to capture  
15 best practices in both plant and pre-harvest.

16 MR. PAYNE: That was multiagency after  
17 multidisciplinary, right?

18 DR. SHULTZ: Correct. And multiagency.  
19 Comments?

20 MS. KLEIN: I'd like to capture the phrase  
21 that's struck out, recognizing that pre-harvest is a  
22 critical control point for pathogen contamination,

1 and then ditch the additionally. So it would be  
2 recognizing that pre-harvest is a critical control  
3 point for pathogen contamination, the Agency should  
4 conduct a series of stakeholder meetings.

5 DR. SHULTZ: Go ahead.

6 MS. KLEIN: Take the first phrase that is  
7 struck out there, the recognizing -- through the  
8 comma, yep, to grab that, and drop that in, in place  
9 of the word additionally below, and I also don't  
10 think it should say plan and conduct. I think we  
11 could just say conduct.

12 Yeah, before the should, it should say,  
13 comma, the Agency should.

14 MR. PAYNE: This is Keith Payne. My  
15 suggestion, since we're having comments, we're not  
16 around our table, and we're spread out. If you're  
17 spread out, just raise your hand. We do have a  
18 couple mics, if you have a comment to make and  
19 identify yourself please.

20 Dr. Tilden, you have a comment?

21 DR. TILDEN: Yeah, I think the term  
22 critical control point has an awful lot of very

1 specific meanings to many of us, and there's a huge  
2 amount of data that's got to be looked at to say,  
3 really do believe that is a critical control point.  
4 I think all of us agree the importance of pre-  
5 harvest food safety, it needs to be addressed, it  
6 needs to be considered, but to use the term critical  
7 control point, I think might be beyond what I can  
8 support. I'd just say recognizing the vital  
9 importance of pre-harvest food safety and leave it  
10 at that.

11 MR. PAYNE: Dr. Liang, you have a comment?

12 DR. LIANG: This is more words, or can be  
13 an important or critical determinant of, but anyway,  
14 I'm not going to go to the mat for words.

15 MR. PAYNE: There was a request from  
16 Ms. Buck to have Dr. Liang repeat what he said.

17 DR. LIANG: Maybe the phrase, pre-harvest  
18 can be a determinant of the rest of it. It's just a  
19 suggestion.

20 MR. PAYNE: Mr. Warshawer, you have a  
21 comment.

22 MR. WARSHAWER: Just some words,

1 recognizing that pre-harvest practices can influence  
2 or impact pathogen contamination. Take out the  
3 word, for. There you go.

4 MR. PAYNE: Dr. Reinhard, you have a  
5 comment and your microphone is not working.

6 DR. REINHARD: I would like to look at, in  
7 front of impact, pathogen contamination. Say impact  
8 potential pathogen contamination.

9 MR. PAYNE: Dr. Shultz, how are we looking?

10 DR. SHULTZ: It looks good to me. Does  
11 anyone have any additional edits?

12 MS. KLEIN: I have kind of a question.  
13 This is Sarah Klein. A question for Phil. Do we  
14 need to specifically delineate all the topics that  
15 we think should be discussed at this meeting?

16 MR. DERFLER: No.

17 MS. KLEIN: No. So just because it isn't  
18 stated there, we can still have a discussion on, for  
19 example, hypothetically authorities.

20 MR. DERFLER: Yes.

21 MS. KLEIN: Okay. Thank you. Then my only  
22 other suggestion would be where this goes in the

1 document. I don't have a specific wordsmithing on  
2 this paragraph, but where the paragraph goes in the  
3 document, I'd like to address when we get there.

4 DR. SHULTZ: It was suggested that we put  
5 it at the end, but we can move it or the beginning.

6 MR. PAYNE: Are we ready to move to that,  
7 Dr. Shultz?

8 DR. SHULTZ: Yes.

9 MR. PAYNE: Okay. And there is a comment  
10 from Mr. Waldrop.

11 MR. WALDROP: I had a comment on Number 4,  
12 just whenever we get to that point.

13 MR. PAYNE: Dr. Tilden?

14 DR. TILDEN: So I think getting back to the  
15 original charge, both 4 and 5 get to what FSIS can  
16 do within their existing framework, and so we're  
17 focusing on what veal slaughter operations can do to  
18 improve food safety and as part of these stakeholder  
19 meetings, they would talk about what regulators and  
20 veal slaughter operations can do to incentivize best  
21 practices. Is that correct? I think if we focus it  
22 on that, everyone would agree that that's the sweet

1 spot that we can all agree on, that these meetings  
2 can focus.

3           If you broaden it out, those are very  
4 legitimate issues that may need to be addressed, but  
5 I don't think we're going to reach consensus to say  
6 we're going to cover all the topics of veal pre-  
7 harvest food safety in these series of stakeholders  
8 and make it's something that's doable. So I think  
9 it's helpful. To make it doable, focus on veal  
10 slaughter operations, what they can do and then how  
11 they can influence pre-harvest food safety, you  
12 know, the operations, when they're receiving. I  
13 would not try to make it an all encompassing topic.

14           MS. KLEIN: It might be enough of an  
15 argument for FSIS to help them when they're planning  
16 a meeting. If they say we're going to have three  
17 meetings and first two are going to be focused on --  
18 today and the last one is going to be focused on  
19 next steps, I mean I don't want to limit the Agency  
20 in here if what we're supposed to be doing is just  
21 telling the Agency we want you to convene these  
22 meetings to cover a variety of topics within this

1 important issue. I don't know. I'm not comfortable  
2 with saying and only cover these topics.

3 MR. PAYNE: Okay. We have comments from  
4 Ms. Buck and then Mr. Warshawer.

5 MS. BUCK: Is this on? Okay. I would  
6 agree with Sarah that limiting by listing what the  
7 meetings would be about is probably not something  
8 that NACMPI should do, okay.

9 I also think that since this pre-harvest  
10 practice as a potential pathogenic contamination is  
11 really sort of an overarching thing in response to  
12 all our questions.

13 So I don't know where, Dr. Shultz, you  
14 would want to put this statement. I don't know if  
15 at the end is the best spot for it. It's certainly  
16 a key spot, but we could also put it at the  
17 beginning. Sarah, do you have any suggestion?

18 MS. KLEIN: For the reason you just stated,  
19 my preference would be that it serves as a preamble,  
20 kind of framing the issue and then dealt with the  
21 specific questions below. So my preference would be  
22 that it's a preamble.

1 MS. BUCK: It seems that Dr. Shultz --

2 DR. SHULTZ: Any objection from the  
3 Committee? No objections from the Committee,  
4 Subcommittee. Okay. Also Nancy pointed out to me  
5 that we should change Subcommittee to Committee  
6 under response there. Natasha. Yeah. There's an  
7 extra S in -- oh, yeah, after works, the Agency  
8 works -- work. Okay.

9 So we'll move the last paragraph to the top  
10 of the document as a preamble. Above the questions,  
11 yes.

12 Okay. Additional comments? I think  
13 we're --

14 MR. PAYNE: Is that the last revision,  
15 Dr. Shultz?

16 DR. SHULTZ: I believe it is.

17 MR. PAYNE: And we have a comment here from  
18 Mr. Waldrop.

19 MR. WALDROP: Go back to Question 4 please.  
20 So for the first paragraph in our response, we say  
21 that we recognize there's specific challenges but  
22 that the data currently provided isn't sufficient to

1 determine risk among the various classes. I think  
2 we need to give FSIS the ability to, if in the next  
3 90 days as they're going through this process,  
4 verification process, or as they're getting  
5 information from these stakeholder meetings, to be  
6 able to address any differences that they identify.

7 So it's not, you know, we're saying that we  
8 don't have enough data but that as FSIS gets data or  
9 gets information that makes it clear there is a  
10 difference, that they should be able to take action  
11 as necessary.

12 You don't have to put a 90-day timeframe in  
13 there.

14 If FSIS identifies the need to take  
15 specific action based on identified differences,  
16 they should --

17 MS. DONLEY: Can I say something?

18 MR. WALDROP: Please.

19 MS. DONLEY: If FSIS identifies areas in  
20 its policy documents where more specific language is  
21 needed, in the subclasses of veal, the Agency should  
22 include that language. Something. It's not very

1 elegant but --

2 MR. PAYNE: As stated yesterday, if we are  
3 good with the content, we can finesse the words  
4 after the meeting and send it back out to the  
5 Committee but content-wise is what we're after for  
6 the whole Committee to have a consensus on.

7 Dr. Shultz?

8 DR. SHULTZ: I think we have a working  
9 document with acceptable responses at this point.

10 MR. PAYNE: Is the whole Committee in  
11 agreement? And Mr. Warshawer, you have a comment?

12 MR. WARSHAWER: Hello. I just want to be  
13 sure of one thing on the preamble. Okay. There's  
14 two different connected but distinct items being  
15 described, a series of stakeholder meetings to  
16 facilitate knowledge sharing and capturing. And  
17 then in addition to the above stated research  
18 plan, multidisciplinary, multiagency stakeholder  
19 meetings.

20 And the reason why I'm emphasizing that is  
21 that the first, the easiest piece to facilitate is  
22 that first sentence which would be FSIS and

1 industry. The ability to gather and convene a  
2 multidisciplinary, multiagency meeting is a more  
3 laborious, time consuming process and is necessary  
4 but that the way that this is worded, I'm hoping is  
5 because we intend that Sarah's original 2014  
6 timetable for some kind of industry-FSIS interaction  
7 is doable. That multidisciplinary, multiagency, et  
8 cetera, is not necessarily something that would be  
9 done by 2014.

10 MR. PAYNE: Dr. Tilden?

11 DR. TILDEN: Exactly, and I agree. Leave  
12 it up to FSIS with the discretion to see what we can  
13 get done by a specific timeframe. Like Sarah's  
14 saying, get something done by 2014, and I think  
15 there have been 15 years plus of multidisciplinary,  
16 multiagency pre-harvest food safety meetings, and so  
17 I think the idea of targeting it to what can  
18 practically get done and result in something  
19 different within the next year, or year and a half,  
20 is important not to lose.

21 MR. PAYNE: Thank you, Dr. Tilden. And  
22 then, Ms. Klein.

1 MS. KLEIN: I think this one works. So  
2 we've lost the 2014 language from the preamble is  
3 the first point that if we like that kind of  
4 immediate action step, we need to put that back in.

5 And the other thing is I'm uncomfortable  
6 directing the Agency to have meetings to which I'm  
7 not invited. I'm sorry. Just to be honest, I think  
8 it is difficult to be in a position as a consumer  
9 group where you say you guys should have a meeting  
10 and, you know, and it's not important to us that we  
11 be allowed to attend. So I would not be comfortable  
12 with the premise being that the Agency and the  
13 industry should go ahead and have meetings on this  
14 important topic and our presence is not important.  
15 So I don't feel comfortable with that.

16 But I think either way, we need to go ahead  
17 and add back in the specific language about the 2014  
18 goal if the consensus of the Committee is that we  
19 want the Agency to have that goal.

20 MR. PAYNE: Thank you, Ms. Klein. We have  
21 Mr. Warshawer and then Dr. Rybolt.

22 MR. WARSHAWER: Okay. That's easy. The

1 delay and turning a short-term possibility into a  
2 long-term project isn't a consequence of involving  
3 the consumer groups. It's a consequence of  
4 involving multiple agencies. So can we add in the  
5 shorter term, the first sentence, can we put in  
6 something that says that the first round be  
7 inclusive of whoever, however we language it, to be  
8 sure that you all are there, and that we don't get  
9 trapped into a government agency tangle that  
10 prevents us from doing anything? That's what I'm  
11 concerned about.

12 I never imagined excluding consumer groups  
13 from the conversation. I'm just really keenly aware  
14 that if we're trying to get enough different  
15 government agencies involved, it will take that much  
16 longer until anything happens.

17 MR. PAYNE: Dr. Rybolt?

18 DR. RYBOLT: I think the intent was -- is  
19 to be inclusive of all interested stakeholders. So  
20 maybe that's the language that's used, and you can  
21 change the multidisciplinary, whatever, all that up  
22 there and take that out and just put interested

1 stakeholders. And actually I think the intent, too,  
2 was it's one and the same. So the first sentence  
3 and the last one, they're all really the same  
4 stakeholder meetings or whatever.

5 MR. WALDROP: Not two different meetings.

6 DR. RYBOLT: It's just a series of  
7 meetings, yeah, for interested stakeholders. So  
8 that should cover everybody. Sarah will get her  
9 dance card, too.

10 And I did want to go back to Number 4. I  
11 had a question with Chris' suggestions. I don't  
12 know if I have a -- where was that edited? Would  
13 that be within kind of their existing tools?

14 MR. WALDROP: Yeah.

15 DR. RYBOLT: That's what I was trying to  
16 understand, is that within or are we asking for  
17 something else?

18 MR. WALDROP: No, I just didn't think we  
19 really answered the question to some extent with  
20 that first sentence, and I wanted to make sure that  
21 within their existing tools, FSIS could, if they  
22 identify that there is a problem, they could address

1 it or, and this is really looking at changing the  
2 language in the notice and the directive.

3 DR. RYBOLT: Yeah, so they would issue a  
4 notice or something like that like they've done  
5 before.

6 MR. WALDROP: Yep, exactly.

7 DR. TILDEN: And so at that sentence, is it  
8 okay? And, Natasha, you don't have to add anything  
9 yet. We can just see what people think. The  
10 Committee encourages FSIS to increase sampling as  
11 needed to better define potential risks, you know,  
12 and that way we're not waiting in the future. If  
13 they see there's a data gap, but they know about it,  
14 and it's within their ability to fill that data gap,  
15 that that's a possibility, on this response. So  
16 that's one suggestion.

17 The other suggestion is just add the 2014  
18 to the end of the preamble, so that we get an end in  
19 sight for that. So if you go back up to the  
20 preamble. So you'd capture best practice, both in-  
21 plant and pre-harvest by 2014. That's one way to  
22 get the 2014 in there.

1 MR. PAYNE: Dr. Lorenzen.

2 DR. LORENZEN: Since we struck the  
3 multiagency, are we considering that that is in the  
4 interested stakeholders up in the preamble? Sorry,  
5 because the other agencies are the ones that have  
6 responsibility for pre-harvest food safety, and  
7 you're considering that interested stakeholders and  
8 FSIS considers them as interested stakeholders.  
9 Okay.

10 MR. PAYNE: Dr. Tilden, do you still have  
11 or, Ms. Buck, you have a comment?

12 MS. BUCK: Yes. Could we scroll down to  
13 Question 4? The same sentence that has been added,  
14 that last sentence in the first paragraph of the  
15 response, if FSIS identifies areas within their  
16 existing tools where more specific language is  
17 needed in the subclasses of veal, I would put if  
18 more specific action is needed, I would think would  
19 be better than language.

20 UNIDENTIFIED SPEAKER: They're talking  
21 about policy.

22 MS. BUCK: They're talking about policy.

1 UNIDENTIFIED SPEAKER: Yes.

2 MS. BUCK: The Agency should -- okay. I'm  
3 sorry. I missed that point.

4 MR. PAYNE: Dr. Shultz?

5 DR. SHULTZ: I think we've arrived at an  
6 end.

7 MR. PAYNE: In consensus from the whole  
8 Committee on the recommendations?

9 UNIDENTIFIED SPEAKER: Can you go back up  
10 and --

11 MR. PAYNE: Go back up to the top and start  
12 from the start from the top and go down through  
13 them.

14 MS. KLEIN: I just want to clarify. This  
15 is Sarah Klein. I just wanted to clarify that  
16 initially the discussion that we had was about  
17 achieving a specific pathogen reduction in this  
18 product by 2014. It was not in an exercise of  
19 capturing best practices by 2014. Those are very  
20 different goals.

21 So my preference would be to state a  
22 pathogen reduction but --

1 UNIDENTIFIED SPEAKER: (indiscernible).

2 MS. KLEIN: With the intent to capture best  
3 practices both in plant and pre-harvest, and to  
4 achieve -- what do we want it to say?

5 UNIDENTIFIED SPEAKER: A measurable.  
6 Measurable.

7 MS. KLEIN: -- measurable and significant  
8 pathogen reduction by 2014.

9 MR. PAYNE: Ms. Buck, do you have a  
10 response?

11 MS. BUCK: I think this is great, but  
12 significant has statistical overtones, and I think  
13 we need to have any type of pathogen reduction,  
14 whether or not it's statistically significant.

15 MS. KLEIN: Okay. Yeah, I didn't mean  
16 statistically significant. I was using it --

17 MR. PAYNE: Are we okay with that?

18 MS. KLEIN: I'm done.

19 MR. PAYNE: Do you seek consensus from all  
20 Committee members?

21 DR. SHULTZ: Yes.

22 MR. PAYNE: Raise your hands. Voting in

1 favor?

2 DR. SHULTZ: Any opposed?

3 MR. PAYNE: Motion carries.

4 DR. SHULTZ: Thank you.

5 MR. PAYNE: Thank you very much.

6 Okay. We can go ahead and move into  
7 Subcommittee 2's recommendations and resume our  
8 place around the table.

9 Okay. We're moving onto Subcommittee 2  
10 with the recommendations, and Ms. Sarah Klein is the  
11 Madam Chair of that Subcommittee. So once we get  
12 the document up on our laptop. I think everybody  
13 has a hard copy of Subcommittee 2's recommendations.  
14 If you'd like, Ms. Klein, you can go ahead and  
15 start, and we'll get this brought up on our laptop.

16 MS. KLEIN: Okay. So this is the report  
17 from the Subcommittee on Review of Criteria for  
18 Public Health Related Noncompliance Records.

19 All right. So we started with the  
20 preamble, a very brief preamble. The Data Analysis  
21 Subcommittee recognizes, actually we'd have to  
22 wordsmith that for the full Committee, recognizes

1 the work of the Agency in updating the regulatory  
2 criteria by which focused inspection activities such  
3 as FSAs are prioritized. This type of data-driven  
4 science-based approach is critical for addressing  
5 risk. The issues delineated below represent  
6 additional areas for consideration or issues of  
7 concern to the Subcommittee.

8 So then we answered Question 1, which I  
9 don't actually have the question here.

10 DR. SHULTZ: What comments does the  
11 Committee have regarding the approach used to select  
12 the PHR list?

13 MS. KLEIN: Okay. Thank you. So our  
14 responses were that data dilution is a concern, that  
15 the mixing of performance-based and public health  
16 criteria may misclassify items and their  
17 significance.

18 Second, it may not be reasonable that all  
19 data is randomly distributed. Positives may suggest  
20 clusters or links relegating it all to the larger  
21 data sphere. And assuming randomness does a  
22 disservice to the data. This may require secondary

1 sampling to tease out the additional data.

2           Third, FSIS has made an assumption that  
3 there's a link between NRs and pathogen findings  
4 even though there may not always be cause and  
5 effect, for example, in specified risk materials.  
6 Notwithstanding the recognition that an NR is  
7 indicative of a general loss of control, the Agency  
8 may need to provide a better foundation for the use  
9 of NRs as an indicator significant to trigger an  
10 FSA, for example, if the overall NR count was high  
11 enough above the cut point even without sampling  
12 positives, that could be enough to trigger a FSA.

13           FSIS still needs to clarify its intentions  
14 in process control and public health control and  
15 protection.       Statistical significance does not  
16 necessarily equal practical significance.       The  
17 Agency may be missing the public health benefit by  
18 focusing on the processed NRs.       The Agency should  
19 provide its reasoning on this issue including  
20 whether it intends for PHR monitoring to be a  
21 performance-based evaluative tool, whereas the FSAs  
22 result from that monitoring are the public health

1 risk analysis.

2           Thus, on a continuous basis, the data  
3 gathered from those FSAs should be reviewed for  
4 relevance to public health, i.e., was the Agency  
5 looking for the right things, and should inform the  
6 development of the PHR list going forward.  
7 Similarly, the Agency should consider which of the  
8 candidate elements may be showing up later in FSAs  
9 and thus should be added to the list.

10           The information gained through ongoing data  
11 analysis should be shared with extension and used to  
12 update training for industry, regulators, and others  
13 as appropriate.

14           So let's tackle Question 1 before we move  
15 on.

16           MR. PAYNE: Any comments? Mr. Waldrop.

17           MR. WALDROP: Can you talk a little bit  
18 more about the discussion you had for the first two,  
19 just to provide a little bit of background. I'd  
20 just like to understand kind of what those issues  
21 mean.

22           MS. KLEIN: Yes. And actually I'm going to

1 ask somebody else on the Subcommittee to do that  
2 because that's not my area of expertise, the data  
3 dilution questions and the random distribution  
4 questions.

5 DR. TILDEN: I'm sorry. I was having a  
6 sidebar conversation.

7 MS. KLEIN: The first two bullet points,  
8 can you give the Committee a little bit of  
9 background on how we reached those first two bullet  
10 points and what we were intended to capture there.

11 DR. TILDEN: Okay. This is John Tilden.  
12 So there's a long list of regulations that are  
13 proposed to be included. Some of them have hundreds  
14 of thousands of observations associated with them  
15 that are related with performance-based criteria,  
16 how well the HACCP plan is being implemented in  
17 comparison with regulations.

18 We talked about how the hypothesis is that  
19 process control using a HACCP system will have  
20 either a direct or indirect link with  
21 microbiologically safe foods, but some of those  
22 write ups and NRs may or may not have direct or

1 indirect links with microbiologically safe foods.  
2 They have more to do with implementation of the  
3 program as written.

4           So we applauded FSIS in the direction  
5 they're taking and they've got better data for  
6 decision making now than before, but we encourage  
7 them to continue to try to separate out for whatever  
8 regs are created, to better define to what extent  
9 they help implement the program as written versus  
10 identify. Do they help us better and better focus  
11 on the public health risk in creating  
12 microbiologically safe foods?

13           And then misclassification is that if you  
14 are including things and saying we are marking these  
15 NRs for public health reasons when they're actually  
16 performance based, and they have more to do with  
17 process implementation, to the extent they aren't  
18 directly linked with public health outcomes, you  
19 misclassify them and then you're taking actions on  
20 things that aren't directly related with public  
21 health outcomes. We recognize this is a long-term  
22 debate, and we're moving forward, but we want to

1 continue to press the Agency to better and better  
2 define and use the data to characterize which of  
3 those regulations is most directly related.

4 And we said basically that the FSAs might  
5 be your best opportunity to intensively evaluate the  
6 actual in-plant conditions and how observations and  
7 NRs most directly impact microbiological safety.

8 MR. PAYNE: Dr. Shultz?

9 DR. SHULTZ: I question that I would have  
10 is in the process of doing this, is a key component  
11 of collecting this data also training those who  
12 collect it of the significance of it, and the  
13 functionality of it in the broader spectrum of  
14 overall plant compliance.

15 And I'll use the example of zero tolerance  
16 in beef, where we really worked hard over many, many  
17 years to associate a finding of fecal material on a  
18 carcass with microbial results, in-plant microbial  
19 results, generic *E. coli* results and other pathogen  
20 results from that product.

21 And what happened was, as a result, that we  
22 spent a few years defining what fecal material was,

1 and rather than just documenting it as fecal  
2 material, we had to describe it as fibrous and brown  
3 or green, so that we were sure that we had fecal  
4 material as opposed to hair or as opposed to dirt,  
5 rail dust from the plant, which has a different  
6 level of significance in terms of food safety, and  
7 at the inspection level, where all that data is  
8 collected, we often don't see the big picture.  
9 That's just one example, if anyone would like to  
10 speak to that.

11 MR. PAYNE: Thank you, Dr. Shultz. Any  
12 responses? Mr. Alvares?

13 MR. ALVARES: So I'll just say very  
14 briefly, and I think maybe some of the Committee  
15 members can weigh in, too, but there was some  
16 discussion about a feedback process. How do we know  
17 that what we're implementing is working and how do  
18 we translate what we learned from that feedback  
19 process into potentially better instructions to the  
20 field, better training, and so we see that as a  
21 component. Certainly some of the feedback we  
22 received in the discussions yesterday is a component

1 we need to incorporate.

2 MR. PAYNE: Thank you, Mr. Alvares. And,  
3 Dr. Shultz, your tent card is still up. Do you have  
4 a comment? Ms. Buck.

5 MS. BUCK: This is a question for Chris.  
6 In your estimation of the response given by the  
7 Subcommittee, since you were present at its  
8 deliberations, do you believe that this will have  
9 the level of statistical quality to give you the  
10 types of information that you need to move forward  
11 with not only selecting the PHR list, but with  
12 making new evaluations as you move forward?

13 MR. ALVARES: I'll just -- I'll use -- you  
14 know, start with this. So I think it's kind of a --  
15 it's a tough question. On the one hand, I think we  
16 definitely have better data and we have better  
17 information to be able to make these kinds of  
18 decisions. And so I think we've seen from a data  
19 analysis, a statistical perspective, I think we've  
20 definitely had advancements through the data we're  
21 collecting from PHIS.

22 With that being said, I still think there's

1 opportunities for improvement. There's always, you  
2 know, opportunities to collect better data. As I  
3 mentioned in the feedback process, if we are  
4 learning things about how regs are being documented  
5 or cited, I think that we want to look at that both  
6 in terms of regulatory enforcement but from this  
7 process, also in terms of how it's informing our  
8 decision making for FSAs.

9           So I think we're making progress. I think  
10 we've made some good advancements, but I certainly  
11 don't feel like we're done in terms of an approach  
12 to analyzing NRs and making decisions based on them.

13           MS. BUCK: This is Patricia Buck again.  
14 And based on that statement, would you have any or  
15 this Subcommittee, should it be making any  
16 recommendations to have a subgroup to look at some  
17 of these data analysis issues? I mean, would that  
18 be something that should --

19           MS. KLEIN: Well, we do offer that as  
20 suggestion later in kind of a --

21           MS. BUCK: Okay.

22           MS. KLEIN: -- separate section, not as a

1 direct response to Question 1, but we do. So when  
2 we get to that point, tell me if that captures what  
3 you're envisioning.

4 MS. BUCK: Okay. Thank you.

5 MR. PAYNE: Thank you, Ms. Buck. Thank  
6 you, Ms. Klein. Next, Mr. Waldrop.

7 MR. WALDROP: Also based on Chris'  
8 response, and perhaps it will be captured later, but  
9 I think the Committee should emphasize the  
10 importance of revising this. I know that you've  
11 indicated that that's your plan and that that was in  
12 the slides, but I think considering the fact that  
13 you're working with this first 7 months of data from  
14 PHIS, since you got it started, we know there have  
15 been problems with the data. You recognize the need  
16 for more data, and that you will be getting more  
17 data.

18 We know that there have been problems with  
19 uploading some of that data from inspectors. I  
20 think it's going to be important to revise this  
21 continually when you have more data available to be  
22 able to make, you know, better assessments of really

1 where are the important public health related NRs.

2           So I would suggest that is the importance  
3 of revising this on a regular basis as an amendment  
4 to this document.

5           MR. PAYNE: Thank you, Mr. Waldrop. And,  
6 Ms. Klein, I'll turn this back over to you. I'm  
7 sorry. We have a comment from Mr. Alvares.

8           MR. ALVARES: So maybe more of a question  
9 because I heard something about not just using the  
10 NRs in addition to sampling data, but also by  
11 themselves. I just wanted to make sure, maybe I had  
12 miscommunicated or misheard what the recommendations  
13 from the Committee are, but the public health reg  
14 approach isn't -- the decision making that we do, as  
15 we go monthly through our process of prioritizing  
16 FSAs, doesn't incorporate sampling data. It's  
17 looking strictly at reg citations in the inspection  
18 tasks. The sampling data was used as outcomes to  
19 support the analysis for what we selected as regs,  
20 but as we implement, the focus is on just the  
21 inspection activities.

22           I guess maybe it sounded, from the

1 Committee write up, at least as drafted, there's an  
2 impression that we're going to be using sampling  
3 data as one of the inputs to this process.

4 MR. PAYNE: Dr. Reinhard?

5 DR. REINHARD: I think it's important what  
6 Chris has stated be included, and I think, Chris,  
7 for you, if you got to a point where an input could  
8 be the sampling data, and it drove to better  
9 decisions and science-based decisions, you should  
10 pursue that. And so I think it's more of a keep it  
11 open, work on a continuous improvement process to  
12 drive the Agency in the correct direction. So I  
13 think just culling it out with the data point would  
14 be appropriate, and then you'll have the  
15 flexibility, understanding that with the first  
16 process that you went through, specifically PHR, the  
17 output, right, you used the output of sampling  
18 results to figure out what your assumptions were.

19 MR. ALVARES: Okay. Thanks.

20 MR. PAYNE: Thank you, Dr. Reinhard.  
21 Dr. Tilden?

22 DR. TILDEN: Yeah, I think I'm saying the

1 same thing, that the goal is we're moving from  
2 visibly clean to microbiologically safe. So public  
3 health regulations should be linked to microbial  
4 counts recognizing that's going to take time and so  
5 that's the goal and you're moving towards that goal.  
6 I think that's in line with what we were discussing.

7 MR. PAYNE: Thank you, Dr. Tilden.  
8 Ms. Klein.

9 MS. KLEIN: Does somebody want to propose  
10 specific language that captures this concept of  
11 continuous improvement and updating that we can  
12 wordsmith in? Chris?

13 MR. PAYNE: Mr. Waldrop?

14 MR. WALDROP: Okay. I'll draft something  
15 while you guys continue with your discussion.

16 MS. KLEIN: Okay. So continuing on to  
17 Question 2. Does the Committee have comments on the  
18 four criteria used to select a candidate PHR list?

19 Our response, within the frame of  
20 continuous improvement, this was a question for the  
21 Agency, how does the Agency intend to drive change  
22 and advancement of HACCP? The Agency needs to

1 identify areas within the framework of HACCP systems  
2 that are still not being fully controlled. The  
3 Agency needs to identify gaps in existing practices  
4 and then we had, for example, where early adopters  
5 can share with capable learners how to close those  
6 gaps and more fully realize the goals of HACCP.  
7 Once those gaps are closed, the Agency can consider  
8 the next generation of HACCP principles.

9           The CDC's EHS-Net is a methodology for  
10 doing environmental assessments, and it's an example  
11 of the type of assessment that can provide  
12 additional data for decision making.

13           Then we need to strike this bullet that  
14 says enforcement. That's it.

15           MR. PAYNE: Thank you, Ms. Klein. We have  
16 Dr. Liang.

17           DR. LIANG: This is really sort of a small  
18 technical issue but probably important, and that is  
19 if you will allow me, maybe not now but soon, to  
20 find the appropriate EHS-Net is really a program,  
21 and I think the methodology that John alluded to was  
22 one of the activities within that program. So with

1 your permission, I'll at some point in time, I can  
2 do it now if you want, but I don't know if I'll be  
3 successful. I'll find the right, you know, label  
4 for referring to the methodology --

5 MS. KLEIN: Yeah. That's fine.

6 DR. LIANG: Okay.

7 MR. PAYNE: Thank you, Dr. Liang.  
8 Dr. Shultz.

9 DR. SHULTZ: If someone on the Subcommittee  
10 could define for me --

11 MR. PAYNE: Sorry, Dr. Shultz, your mic is  
12 not on.

13 DR. SHULTZ: How about that?

14 MR. PAYNE: It's on now.

15 DR. SHULTZ: Okay. Could someone define  
16 what next generation of HACCP principles is?

17 MS. KLEIN: Essentially we have to remember  
18 that these conversations we're having about  
19 identifying what is the next HACCP, that HACCP isn't  
20 the end of the road, and I think we were trying to  
21 capture that there is a HACCP 2.0 that hasn't been  
22 developed yet or explored yet, but that there are

1 still remaining gaps in the first generation of  
2 HACCP that we haven't gotten everybody doing all  
3 parts of. Nancy.

4 MS. DONLEY: I think it's a continuation of  
5 the discussion of continued improvement and that  
6 it's looking to constantly evolve, was kind of the  
7 general sense of the conversation.

8 MS. KLEIN: Does that answer it?

9 DR. SHULTZ: I would just think that it  
10 would evolve under one HACCP continuum. I think the  
11 principles are the same, that they shouldn't change.

12 MR. PAYNE: We have Dr. Rybolt or is that  
13 Dr. Marcy? I'm sorry. I can't see.

14 DR. MARCY: That's okay. I was going to  
15 speak to that, HACCP principles, that the principles  
16 probably do not change but, you know, the correct  
17 thought process, you know, HACCP is, you know,  
18 always improving and it's part of the validation  
19 process that, you know, as new practices come about,  
20 you know, they can be incorporated as part of that  
21 HACCP principle.

22 MS. DONLEY: Just as kind of an addition to

1 that is we had a terrific example in this first  
2 presentation that we had with problems with veal,  
3 how it's being, with HACCP and not being implemented  
4 very well and not being, you know, problems with  
5 inspection as well. So that's kind of, you know, we  
6 had the fact that HACCP isn't perfect. We're not  
7 questioning the principles of HACCP but we just need  
8 to have continuous improvement within the various  
9 industries.

10 MR. PAYNE: Dr. Tilden, you had your tent  
11 card up.

12 DR. TILDEN: And one of the things we  
13 talked about was immediately following  
14 implementation of HACCP in the late '90s, we had a  
15 drop in foodborne illnesses that related to some of  
16 the enteric pathogens and that plateaued off in the  
17 early 2000s.

18 What does it take to take it to the next  
19 level, so we get the next level of reductions? And,  
20 how do we identify the existing parts of our  
21 programs that are inefficient or less effective?  
22 And then if we can focus more clearly on those

1 areas, make them more efficient and more effective,  
2 then that could drive the next round of reductions.

3 MR. PAYNE: Thank you, Dr. Tilden.  
4 Ms. Buck.

5 MS. BUCK: Patricia Buck from CFI. I  
6 likewise sort of take some exception to the use of  
7 principles in that last sentence of the first  
8 bullet. There's seven HACCP principles, and I think  
9 one of those HACCP principles is you keep relooking.

10 So maybe what the Subcommittee would like  
11 to consider is instead of principles there, say the  
12 next generation of HACCP practices within various  
13 industries.

14 MR. PAYNE: Ms. Donley.

15 MS. DONLEY: Yeah, I see the concern there  
16 with the word principles. I really do. What if,  
17 you know, if we were to articulate, the goal of  
18 HACCP is to reduce foodborne contamination. So if  
19 we were to add, after realize the goals of HACCP  
20 which is to reduce foodborne contamination, once  
21 those gaps are closed, the Agency consider the next  
22 generation of maybe performance standards. Is that

1 what we're saying, is to get the performance, you  
2 know, or microbiological standards.

3 MR. PAYNE: Dr. Reinhard.

4 DR. REINHARD: I would like to propose and  
5 so what Nancy said, I don't know that it goes there  
6 where it was typed.

7 UNIDENTIFIED SPEAKER: Oh, I'm sorry. I  
8 was just putting it there.

9 DR. REINHARD: Oh, you weren't typing what  
10 she said.

11 UNIDENTIFIED SPEAKER: Yeah.

12 DR. REINHARD: Okay. For that last  
13 sentence, we could change it to say once those gaps  
14 are closed, the Agency can continue to make  
15 improvements in their HACCP regulatory system, and  
16 it broadens it to all the different things that the  
17 regulators potentially could look to, to make  
18 improvements in. So HACCP regulatory system. I  
19 think that covers what Pat had asked for, too.

20 MR. PAYNE: And that works for the whole  
21 Committee. I see affirmative.

22 Okay. Ms. Klein?

1 MS. KLEIN: Okay. The last two bullets of  
2 Question 2, FSIS could consider using the outcomes  
3 of PHRs to determine tasks that should be performed  
4 when an inspector completes an HAV. FSIS should  
5 consider providing a flowchart that more  
6 specifically explains the process of getting to a  
7 FSA. A second chart could be devised to explain the  
8 feedback loop described above. Questions?  
9 Comments?

10 MR. PAYNE: Mr. Waldrop?

11 MR. WALDROP: Is the intent of the  
12 flowchart for the general public or for inspectors?

13 MS. KLEIN: I forget who wanted the  
14 flowchart. Tom, was that you?

15 MR. PAYNE: Dr. Shultz.

16 DR. SHULTZ: I think that gets back again  
17 to the concern that I have about a coordinated  
18 effort in compliance once a FSA is implemented, that  
19 there is an understanding at the field level of the  
20 gravity of that and the direction of that, and that  
21 we all in the field, as a former field person, need  
22 to understand our role in that, and the criticality

1 of the data that we provide in moving toward that.  
2 In my experience, having been involved in FSAs from  
3 the field end, is that sometimes I felt I wasn't  
4 ready and I was being sprung upon, that I wasn't  
5 part of this early enough. Just a suggestion.

6 MR. PAYNE: Thank you, Dr. Shultz.  
7 Ms. Buck?

8 MS. BUCK: This is a question for the  
9 Subcommittee that worked on this. Is it your  
10 intention with asking for a diagram, so to speak, of  
11 the outcomes and the process to make it more widely  
12 understood? And is there a problem with being too  
13 hasty with that type of communication when sometimes  
14 some of these data collection problems may take  
15 longer than what is anticipated? I mean, was that  
16 type of thing discussed?

17 MR. PAYNE: Dr. Reinhard.

18 DR. REINHARD: So I don't recall, and I was  
19 on the Subcommittee, what specifically we were  
20 working on, on the flowchart, but that's okay.  
21 Would we just like to say and it should be FSIS  
22 should consider because I think that's leaving it up

1 to them, that we didn't tell them anything, we  
2 should just say FSIS should provide notice and  
3 directives on how this process is going to work  
4 because that's what this is really asking for, as a  
5 simpler way of just saying, everybody needs to know  
6 what we're doing here. And then the inspector gets  
7 the opportunity to understand how and what it means  
8 when things are going on through a system that he's  
9 not familiar with or she.

10 MR. PAYNE: Any responses to Dr. Reinhard's  
11 suggestion there? Dr. Tilden.

12 DR. TILDEN: Yeah, I think the point was  
13 that the PHRs would be one level of surveillance  
14 that would trigger a second more focused level of  
15 surveillance, and I think Dr. Shultz's comment about  
16 ensuring that we have a coordinated effort where  
17 everyone knows how the one level triggers the next  
18 level and then what our roles are in a coordinated  
19 compliance effort, I think that makes a lot of  
20 sense, and I think the intent here is try to make  
21 that more transparent, how the PHRs will be used to  
22 trigger both HAVs and FSAs, and then how the

1 information gathered in the FSAs and HAVs can be  
2 used to feed back into the process. I think that  
3 was the general intent of what we discussed, and the  
4 other Committee members can mention their  
5 understanding.

6 MR. PAYNE: Thank you, Dr. Tilden. And,  
7 Dr. Shultz?

8 DR. SHULTZ: I agree that that's the  
9 direction we need to go in, and I would say that  
10 from the field standpoint, what has made that  
11 process difficult is that there are these triggers  
12 out there that once a certain catechistic event  
13 occurs, we have a FSA whether or not we're ready for  
14 it or whether or not the field has been prepared for  
15 it, and then very often we are in a situation where  
16 we don't have the data that we need and we haven't  
17 done the homework that we need to do.

18 MR. PAYNE: Thank you, Dr. Shultz.  
19 Ms. Buck?

20 MS. BUCK: This question is directed to  
21 Chris, and I was wondering if during the  
22 deliberations of the Subcommittee, was there some

1 consideration given to how long it would take to get  
2 sufficient data on any of these PHRs to provide you  
3 with some validity for taking action?

4 MR. ALVARES: Okay. The analysis that we  
5 did for the Committee, for the report to the  
6 Committee, was based on 7 months worth of PHIS data,  
7 and we did talk about how much data we would need as  
8 we go through kind of our update process.

9 And the feedback I provided to the  
10 Committee in terms of where I think we would be  
11 likely to go is that if we were doing an annual  
12 update process each year, we look at this data and  
13 revise it, that we would look at that year's worth  
14 of data. So we would be looking at essentially 12  
15 months worth of data and updating our public health  
16 regulations based on that.

17 There's another maybe scientific question,  
18 and so I'll try and answer both. The other kind of  
19 aspect to it is how far in time, what time window  
20 should we look at when we analyze PHRs in making a  
21 decision to schedule a FSA or prioritize a FSA?  
22 We've settled on a 90-day window or a 3-month

1 window, and it's not so much through, you know, a  
2 statistical decision making process.

3           It's more about what we felt was a  
4 reasonable period of time. We want something that  
5 is short enough that we can respond in a timely way,  
6 and if we need to compute an average from a year's  
7 worth of data, I would feel that that's going to  
8 really just delay our responsiveness.

9           At the same time, we don't want such a  
10 short window that there's insufficient data to make  
11 a decision, and so based on our kind of judgmental  
12 opinion, we selected the 90-day window, but as far  
13 as reviewing this process and making updates to the  
14 regs, it would more likely to be an annual process  
15 and therefore a 12-month set of data that we would  
16 analyze.

17           MR. PAYNE:       Thank you, Mr. Alvares.  
18 Dr. Shultz.

19           DR. SHULTZ:    I agree that the time period  
20 is critical, and I've experienced a number of  
21 situations where the amount of data that was used  
22 and the time period that was considered was so

1 short, that we were making very heavy decisions on a  
2 very limited number of tasks with limited  
3 observations by one individual, and I know that's a  
4 problem especially as we move into very small  
5 plants, that we would be talking about under veil,  
6 that we have one individual or perhaps two  
7 individuals in a plant that are making all the  
8 observations but the more observations that are made  
9 by a larger number of people, the greater the  
10 validity of that data is, as you progress in a  
11 regulatory mode.

12 MR. PAYNE: Ms. Buck.

13 MS. BUCK: Based on this conversation,  
14 would the Subcommittee consider adding some of the  
15 language that Chris just identified or is that not  
16 the type of things that would help with answering  
17 the question? I mean FSIS should consider using the  
18 outcomes of PHRs to determine tasks that should be  
19 formed when a inspection completes a HAV seems, I  
20 hate to say, a little vague to me. Okay.

21 So could we include some direction to FSIS,  
22 the rationale of using the 90-day window and the

1 annual review or is that something the Subcommittee  
2 does not feel we should specify or the Committee?

3 MR. PAYNE: Any thoughts from the whole  
4 Committee? Dr. Shultz, your tent card is up.  
5 Mr. Waldrop?

6 MS. BUCK: Maybe Chris has a comment on it.

7 MR. WALDROP: I was going to provide  
8 language on an at least annual revision of the PHR  
9 list. I wasn't getting into the 90-day window. So  
10 I'll leave that up to the Committee if folks want to  
11 get into that level too.

12 MR. PAYNE: Dr. Tilden?

13 DR. TILDEN: So maybe that second to last  
14 bullet could be revised to be FSIS should regularly  
15 reassess the validity of the PHR list and the  
16 guidance used to implement it. And I would  
17 recommend that they would, in light of the data  
18 gathered, the PHR data and sampling data. Look at  
19 both of those, assess the validity and then make  
20 revisions as appropriate.

21 MR. PAYNE: Does that work for the whole  
22 Committee?

1 MS. WILLIAMS: Can you repeat it?

2 DR. TILDEN: If we can say it right. FSIS  
3 should reassess both PHR and sampling data to assess  
4 the validity of this system and make revisions as  
5 appropriate. The process, however we want to  
6 describe it, with the idea that this is part of  
7 continuous process improvement.

8 MS. WILLIAMS: Can you repeat the part  
9 about validity? I'm sorry.

10 DR. TILDEN: To reassess the validity --

11 MS. WILLIAMS: Okay.

12 DR. TILDEN: -- of the PHR. I guess it's a  
13 surveillance system. To reassess the validity of  
14 PHR and sampling data.

15 MS. WILLIAMS: Gotcha.

16 DR. TILDEN: Access -- assess. So it's  
17 reassess. We'll look at it with spell check.

18 UNIDENTIFIED SPEAKER: To reassess the  
19 validity and the correlation of --

20 DR. TILDEN: Yeah. So our assumption is  
21 that PHR is correlated with microbiological  
22 sampling. So on an annual basis, FSIS should

1 reassess PHR data and microbiological sampling data  
2 to assess the correlation. Is that a more specific  
3 way? To evaluate the degree of correlation.

4 MS. WILLIAMS: To evaluate?

5 DR. TILDEN: To evaluate the degree of  
6 correlation between the two.

7 MS. KLEIN: That one's a separate bullet.

8 MS. WILLIAMS: Okay.

9 MR. PAYNE: We have a comment from Dr.  
10 Vetter and then Mr. Waldrop.

11 DR. VETTER: The last part of that  
12 sentence, I like the revisions that are going on,  
13 but the last part of that sentence, to determine  
14 tasks that should be performed when an inspector  
15 completes a HACCP, that's a totally different topic  
16 and thought process to the portion that you're  
17 revising right now.

18 MR. PAYNE: Dr. Tilden.

19 DR. TILDEN: I agree. So maybe we can take  
20 a stab at taking that fragment and making it a  
21 separate bullet.

22 MS. KLEIN: Okay. Sorry. I've got to get

1 to the computer here. Okay. So on an annual basis,  
2 is that what we're talking about?

3 DR. TILDEN: Yep.

4 MS. KLEIN: FSIS should reassess the  
5 validity of PHR and sampling data to evaluate the  
6 degree of correlation between the two. Yes?

7 Next bullet. I didn't want to lose the  
8 notice and directives piece. Can return to what  
9 that was?

10 UNIDENTIFIED SPEAKER: FSIS should issue  
11 notices and directives to explain the process.

12 UNIDENTIFIED SPEAKER: That negates that  
13 last bullet point.

14 MR. PAYNE: We have a comment from Dr.  
15 Vetter.

16 DR. VETTER: What Dr. Rybolt was saying,  
17 that statement basically negates or leads to that  
18 last bullet point where we're talking about the flow  
19 chart.

20 MS. KLEIN: It would remove the --

21 DR. VETTER: The notices and directives to  
22 explain the process. The flowchart would be part of

1 that or not needed because of that.

2 MS. KLEIN: I didn't strike it out yet I  
3 mean formally, but are we ready to delete that  
4 flowchart? Yes?

5 MR. PAYNE: Do we see an affirmative  
6 reaction from the whole Committee?

7 MS. KLEIN: Okay. But what is this  
8 fragment here, outcomes of PHRs to determine tasks  
9 that should be performed. What was the intention of  
10 that?

11 DR. REINHARD: The intention of that,  
12 because it came from me, HAVs are there to reassess  
13 some different things that may be getting out of  
14 control based off of data that is coming through  
15 this system. Whatever those things are that get  
16 looked at by the inspector, the Agency should  
17 consider the results of this analysis, i.e. which  
18 regulations matter for public health as it related  
19 to sampling results, and potentially incorporate the  
20 verification that those regulations into the HAV  
21 because I think they play together.

22 It's just that we state or the Agency

1 stated we did an analysis and these regulations  
2 matter if we're going to get to controlling the  
3 contamination of product. If you're doing a HAV  
4 because something says you're getting out of control  
5 and you want to avoid contaminating product, well,  
6 that just logically means to me this outcome you  
7 came up with needs to be looked at as part of the  
8 HAV test.

9 MS. DONLEY: The HAV or the FSA?

10 DR. REINHARD: I'm sorry, Nancy. What?

11 MS. DONLEY: The HAV or the FSA?

12 MR. PAYNE: There's a question from  
13 Ms. Donley about the HAV or the FSA.

14 DR. REINHARD: They're two different  
15 things. So it could be used in the procedure, but  
16 this was specifically at the HAV because it occurs  
17 before the FSA and it's earliest on.

18 So the inspector would know when to perform  
19 a HAV because PHIS is going to tell them to, okay.  
20 That's already what they're working on, correct,  
21 Chris?

22 All this is saying is whatever you have

1 them go work on, have them work on the things that  
2 lead to not contaminating product. The regulations  
3 that are most important for not contaminating  
4 product.

5 MS. KLEIN: Does the bullet that I put up  
6 there capture what you're trying to say?

7 The Agency should consider incorporating  
8 the outcomes of PHRs to determine tasks that should  
9 be performed when an inspector completes an HAV.

10 DR. REINHARD: Correct.

11 MR. PAYNE: Mr. Waldrop, your tent card's  
12 been up for a while.

13 MR. WALDROP: Yes. I'm looking for a  
14 little bit more clarity on that one and then I have  
15 another one on the reassessing.

16 So are you saying that FSIS should then,  
17 whatever the important regulations that they  
18 identified in the HAV, are you saying that they  
19 should consider those as important ones for their  
20 whole PHR system?

21 DR. REINHARD: The PHR are the important  
22 regulations. That's what they determined. If those

1 are the important regulations, they should put those  
2 in the procedure when an inspector performs a HAV.  
3 And I would ask, Chris and Phil, do you guys  
4 understand that?

5 MR. ALVARES: This is Chris. I mean I  
6 think I do understand it. In one context, I mean  
7 the HAV task is sort of defined and envisioned, but  
8 I understand what you're saying in the sense that if  
9 this task is being done because it's being triggered  
10 by PHRs, it could also be triggered by some of the  
11 other decision criteria, but I think it's important  
12 for the inspector to know what's triggering that  
13 task, you know, if it's, you know, either for the  
14 HAV or for cause FSA, and that may help them in  
15 focusing their activities to address, you know, that  
16 trigger.

17 MR. PAYNE: Mr. Waldrop?

18 MR. WALDROP: And then going back to this,  
19 the second bullet on the annual basis reassessing,  
20 so this bullet right now says that we should  
21 reassess the validity of combining the PHR and  
22 sampling data, but we also I believe were talking

1 earlier about, and I was suggesting earlier, that  
2 FSIS also needs to reassess all the regulations  
3 they're using and whether those are the appropriate  
4 ones to be considering in this as part of their  
5 public health regulation, and that I believe is  
6 something that FSIS was already considering doing,  
7 where they're reassessing all the regulations  
8 available and making sure they have the right ones  
9 that really have a public health impact.

10 So I would suggest that they need to, in  
11 addition to what you have up there, also do that  
12 type of revision, something along the lines of FSIS  
13 should analyze and update the PHR list annually  
14 based on all available data and information  
15 collected by the Agency.

16 MR. PAYNE: Dr. Marcy.

17 DR. MARCY: Yes. I'm confused now about  
18 the HAV. Is that not a task that is going to be  
19 done quarterly by the inspector? Now the PHRs may  
20 trigger one in advance through PHIS. Too many  
21 acronyms. I apologize.

22 MR. ALVARES: That's one possible outcome

1 that we had described in the decision report from  
2 2010, and I think that's still, you know, part of  
3 the plans for implementation but I think this  
4 process of defining PHRs wasn't to define the HAV  
5 task. It's sort of to define when the task is being  
6 performed or maybe when it needs to be performed  
7 more frequently than the quarterly schedule.

8 DR. MARCY: On that task, and I haven't  
9 seen what that task entails but it's my assumption  
10 that what the inspector will do is look at the  
11 plant's hazard analysis.

12 MR. ALVARES: Yes.

13 DR. MARCY: And it's really not regulatory,  
14 you know, not looking at separate regulations per  
15 se? Just the hazard analysis.

16 MR. ALVARES: It is focused on the hazard  
17 analysis.

18 DR. MARCY: Okay.

19 MR. ALVARES: That's correct.

20 MR. PAYNE: I think we had Ms. Buck and  
21 Mr. Waldrop and then Ms. Gapud. Ms. Buck?

22 MS. BUCK: This is Patricia Buck. Given

1 that the question asks about how the PHR list should  
2 be selected, Bob, are you saying that one of the  
3 things that FSIS should consider using the PHR list  
4 is to incorporate it into all routine HAVs that are  
5 performed?

6 DR. REINHARD: I'm sorry. I'm struggling  
7 to explain it, and I wish I could do a better job.  
8 This is the issue I see. I'll try to re-explain it  
9 simply.

10 Potentially my understanding is that the  
11 PHRs could trigger a HAV, okay. If we agree to  
12 that, if the PHRs trigger a HAV, it wouldn't make  
13 sense to me that the inspector go work on the hazard  
14 analysis which may or may not have anything to do  
15 with the noncompliances that were in the PHR that  
16 initially sent them to do that task in the first  
17 place.

18 MS. BUCK: I've got you.

19 DR. REINHARD: That is the challenge that  
20 we were trying to deal with here. So if the PHR  
21 triggers a HAV and you could name it something else,  
22 they should work on the things that were identified

1 as being noncompliance that triggered another task  
2 in the first place.

3 MS. BUCK: Yes, I agree.

4 DR. REINHARD: That was the point.

5 MR. PAYNE: Ms. Gapud?

6 MS. GAPUD: But isn't it that this PHR,  
7 your ultimate thing here is like it's just an  
8 indicator or something that will trigger ultimately  
9 if you need to do FSA. Am I correct?

10 MR. ALVARES: Yes, that's correct. It's a  
11 trend indicator. It's a trigger for conducting  
12 these tasks, but I also want to make sure we  
13 understand, it's one of several triggers. So  
14 there's a set of decision criteria which includes  
15 the sampling results that can inform these tasks,  
16 but that's correct, yes.

17 MS. GAPUD: So if there's something in the  
18 PHR that you identified, and then that can trigger  
19 HAV, but then ultimately you're looking more, on a  
20 bigger one which is the FSA. But that's not the  
21 only one that can trigger whether you have to do FSA  
22 or not. Am I correct?

1 MR. ALVARES: That's correct. There are  
2 other things that can trigger a FSA.

3 MR. GAPUD: Thank you.

4 DR. REINHARD: Just to clarify one more  
5 thing, it doesn't mean that you wouldn't look at the  
6 hazard analysis, too. You can pick everything. I  
7 don't want it to be limited. All I'm saying is use  
8 the data you have. The hazard analysis may be  
9 critical, too, but also try to incorporate those  
10 things that were the noncompliance itself.

11 MS. DONLEY: Did I mess it up or make it  
12 better? Do we need to be adding, you know, it's not  
13 the regulation. It's the noncompliance to the  
14 regulation. Would that just make it a little bit  
15 more clear?

16 DR. REINHARD: It potentially could be  
17 outcomes of PHR noncompliances, right?

18 MS. DONLEY: Right.

19 DR. REINHARD: Because that's what it is.

20 MS. DONLEY: Right. It's not the validity  
21 of the PHR. It's the validity of the noncompliances  
22 of the PHR.

1           MR. WALDROP:     And I think it is to  
2 determine the task performed in a HAV. I think  
3 that's --

4           MR. ALVARES:     This is Chris. I don't have  
5 a good angle for reading what's up there, but just  
6 to make sure I understand some of the comments. I  
7 mean I understand the idea of the validity of the  
8 PHR approach, sort of the general approach of  
9 selecting these regs and applying them, but I want  
10 to make sure because one way to interpret the  
11 validity of the NRs is whether we're actually  
12 determining whether the inspector wrote the NR  
13 correctly, and that's not the approach that we're --

14           MS. DONLEY:     Yeah.

15           MR. ALVARES:     Okay.

16           MS. DONLEY:     Yeah, that's not the intent.

17           MR. ALVARES:     That's not the intention.  
18 Okay.

19           MS. KLEIN:     So here's how it reads right  
20 now. The Agency should consider incorporating the  
21 outcomes of PHR NRs to determine which tasks should  
22 be performed when an inspector completes an HAV as a

1 result. Good. What time's lunch?

2 MR. PAYNE: Well, question from Ms. Klein  
3 about lunch, and we are I believe past the time. We  
4 were due to take lunch at 12:15. It's now 12:24.  
5 This is something to put forth before the whole  
6 Committee. We need a lunch somewhere. So if you'd  
7 like to work on through this, that is up to you, but  
8 we do need to take a lunch break, but we need to  
9 make sure we start our public comment session at  
10 2:15. So that's the timeframe we have to work with.

11 MS. KLEIN: Can we bring back food?

12 MR. PAYNE: And you're certainly welcome to  
13 bring back food.

14 MS. KLEIN: Would people want to take some  
15 portion of lunch as a break, and then come back and  
16 finish a working lunch just to ensure we get out of  
17 here on time with the weather and blah, blah.

18 MR. PAYNE: Yeah.

19 MS. KLEIN: So how long is lunch supposed  
20 to be?

21 MR. PAYNE: Well, we had slated an hour for  
22 lunch, to reconvene at 1:15, which only gave us an

1 hour to the public comment session.

2 MS. KLEIN: Right. So what time is it now?

3 It's 12:30.

4 MR. PAYNE: It's 12:30.

5 MS. KLEIN: So why don't we say let's try

6 and be back here with something to eat in 20 minute?

7 Does that feel right to people? No?

8 UNIDENTIFIED SPEAKER: No, it takes 20

9 minutes to get through the line.

10 MS. KLEIN: Oh, okay. What do you guys

11 want to do?

12 MS. KLEIN: FSIS, order us pizza. I'm not

13 joking. Go and get a bunch of pizza. I mean we've

14 got weather coming, and I think we want to finish

15 up, but we don't want to short trip ourselves. So

16 whatever the will of the Committee is. This is your

17 problem. This is not my problem.

18 MR. PAYNE: Okay. We got from our

19 designated Committee Chair, Mr. Derfler, 30 minutes

20 to go get food, bring it back, and we will continue

21 to work through the issue. So in 30 minutes.

22 (Whereupon, at 12:30 p.m., a lunch recess

1 was taken.)

2



1 there.

2 MS. KLEIN: Okay. So before these bullet  
3 points, you would want an introductory statement  
4 that says the Committee agrees with the --

5 DR. CHEN: Four criteria, I mean list  
6 there, I mean like establish and maintain HACCP and  
7 maintain sanitary condition and prevent adulteration  
8 and implement effective corrective actions. That's  
9 the four criteria could use to narrow -- balance the  
10 first stage on the regulation. So, and I say we  
11 haven't met any, you know, except the HACCP part, we  
12 haven't -- I mean, we haven't made a comment on  
13 other criteria.

14 MS. KLEIN: Oh, I see. Okay. So --

15 MR. PAYNE: Is that clear to the whole  
16 Committee? Dr. Tilden, you have a comment?

17 DR. TILDEN: Yeah. You asked about the  
18 last bullet, and I've got some suggested alternative  
19 language that might be more understandable. And  
20 that is, the Agency should consider the regulations  
21 that triggered the PHR increase, noncompliance  
22 increase when determining the scope of for-cause

1 HAVs. And I think that gets to our whole idea of  
2 just evaluate what's triggering it and what  
3 components and to what extent we've got linkage  
4 between those specific health regs and microbial.

5 MR. PAYNE: Thank you, Dr. Tilden. We have  
6 a comment. Please identify yourself.

7 DR. SERRATOSA: Yes, Jordi Serratosa. On  
8 the first line that you have been writing, the  
9 Committee agrees that the four criteria used to  
10 establish the PHR are appropriately used, I wonder  
11 if is understood the Committee are saying how these  
12 PHR are appropriately used which is a judgment or  
13 that you wish that are appropriately used. I don't  
14 know if this is my misunderstanding or it's clear.

15 So if the criteria, the four criteria are  
16 appropriately used, you are evaluating or judging  
17 that those criteria are well used while I don't know  
18 if you are doing the exercise. You maybe want to  
19 say that these four criteria are correct in your  
20 view and should be appropriately used. I don't know  
21 if that's the meaning.

22 MR. PAYNE: Dr. Tilden, your tent is still

1 up.

2 DR. TILDEN: Sorry.

3 MR. PAYNE: Any further comments on that  
4 bullet?

5 MS. KLEIN: Dr. Chen, does that work with  
6 what you were trying to say. The Committee agrees  
7 that the four criteria used to establish the PHR are  
8 the correct areas to be considered, provided they  
9 are appropriately used with regard to hazard  
10 analysis, specifically colon?

11 Okay. We can always revisit. Let's move  
12 on just so that we get further on, and then we can  
13 always pick back up if somebody says, you know, I  
14 didn't have a chance to consider that.

15 Okay. The part that I struck out though  
16 about final bullet, are we comfortable with that  
17 being deleted? We're now replacing it with John's  
18 language, the Agency should consider the regulations  
19 that trigger noncompliance increases when  
20 determining the scope of for-cause HAVs. Any  
21 dissent? Okay.

22 MR. PAYNE: I see no dissent.

1 MS. KLEIN: Question 3. I'm sorry. I  
2 don't have the questions in front of me. If someone  
3 else could read the question.

4 MR. PAYNE: I can read the question. I'm  
5 sorry. I don't have the question.

6 DR. TILDEN: I have it. Does the Committee  
7 have any comments on the public health outcomes  
8 (pathogen test results) analyzed to select the final  
9 list of PHRs? *Salmonella*, *E. coli* O157:H7, *Listeria*  
10 *monocytogenes*.

11 MS. KLEIN: Okay. So the Subcommittee's  
12 first comment was that FSIS should include non-O157  
13 STECs and *Campylobacter* for analysis.

14 Second comment, FSIS' sampling program may  
15 not be robust enough to serve as the only data  
16 source underpinning this determination. The Agency  
17 should consider including alternative, possibly non-  
18 pathogenic profiles in their decision making. This  
19 may include further sharing of industry generated  
20 data up to USDA beyond the local inspector, for use  
21 in data analysis, scheduling, enforcement,  
22 et cetera.

1           FSIS should look closely at the timing of  
2 how data is transmitted to the decision makers to  
3 ensure that the data is used contemporaneously for  
4 risk reduction activities. Delays in the transfer  
5 of data may weaken its usefulness.

6           MS. GAPUD: I know the timing here is very,  
7 very important. That last bullet point on Question  
8 3 that we put as a Committee, I know the timing here  
9 is very, very important, but should we not put  
10 something in there to cover how long we will allow  
11 because, you know, again if it's delayed, it will be  
12 useless. But do we have to put something? I think  
13 we have to put something in there, a maximum time  
14 that we can allow. We cannot wait for two years.  
15 It will be useless. One year will even be useless,  
16 you know. I think it's got to be stated in there.

17           MS. KLEIN: Well, okay.

18           MS. GAPUD: I don't know. What's the  
19 opinion of the Committee.

20           MS. KLEIN: I don't --

21           MR. PAYNE: Dr. Reinhard has a comment.

22           DR. REINHARD: I think as long as it's

1 clear to the Agency and, Chris, you were involved in  
2 this discussion heavily, and it's for your  
3 procedure, when you develop a notice or a directive,  
4 what was being said here was that when the results  
5 dictate a FSA needs to be performed, if that's the  
6 task that's getting triggered, it doesn't make sense  
7 for that FSA then to be scheduled 6 weeks, 8 weeks,  
8 10 weeks later, that it needs to be timely so the  
9 data that they're reacting to is still the accurate  
10 data of the circumstances that's occurring. And I  
11 don't know that we need to go beyond and further  
12 describe it, as long as FSIS understands what was  
13 being said as it relates to this bullet point.

14 MR. PAYNE: We have a comment from  
15 Dr. Marcy.

16 DR. MARCY: Not to argue with Bob, but I  
17 think the point that was brought up I think was, you  
18 know, Dr. Vetter, that in-plant folks could use the  
19 data, you know, as it's trending up, that could  
20 possibly to see if there wasn't things that the in-  
21 plant personnel could do to, you know, had  
22 discussion points with the establishment, you know,

1 even without reaching a cut point.

2           And too, you know, Veny, I think this data  
3 supposedly comes out every month on a 3-month  
4 rolling average. You know, so it's fairly timely.

5           MR. PAYNE: Dr. Shultz, you have a comment.

6           DR. SHULTZ: Just a question. Possibly  
7 non-pathogenic profiles and decision making, are you  
8 talking about indicator organisms?

9           MS. GAPUD: Say it again.

10          DR. SHULTZ: When you're referring to  
11 indicator type organisms.

12          MS. KLEIN: I think, Nancy, you had a  
13 specific thought there.

14          MS. DONLEY: Yeah, it was the whole idea of  
15 using, you know, the plants currently, they do their  
16 own, you know, whole plate counts and various types  
17 of sampling all the time, and that we can share some  
18 of that to be used in addition to pathogenic  
19 organism testing.

20          MR. PAYNE: Mr. Waldrop?

21          MR. WALDROP: Also on this particular  
22 point, was there any discussion in the Subcommittee

1 about or with FSIS about sort of the reason for the  
2 second cut when you're looking at PHRs and whether  
3 or not, it sounds like you may have been thinking of  
4 those sample programs weren't robust enough to be  
5 the only source to be able to make that cut. So was  
6 there a discussion of why the second cut, what  
7 purpose that serves and whether the data is robust  
8 enough to allow that second cut?

9 MR. PAYNE: Dr. Reinhard?

10 DR. REINHARD: So that is correct. In  
11 context of making the results more powerful, the  
12 question was asked what other data is potentially  
13 available and initially it was passed on there isn't  
14 any, and then we went further into the discussion of  
15 there potentially is a lot more data that could be  
16 of great value and very powerful in determining how  
17 and what gets done.

18 MR. PAYNE: Thank you, Dr. Reinhard.  
19 Mr. Winchester?

20 MR. WINCHESTER: I'm still unclear with  
21 this second bullet, following Craig there. The  
22 Agency should consider including alternate, possibly

1 non-pathogenic profiles in their decision making.  
2 If this is related to pathogenic test results, as  
3 the question starts out, I'm still not clear what  
4 you're wanting them to look at that's non-pathogenic  
5 that we would -- it's just unclear. I mean, I don't  
6 think that's the direction that this is going, but  
7 other than opening up to any test results. So total  
8 plate counts or what are we looking at?

9 MS. DONLEY: We're kind of looking at, you  
10 know, just the fact that, you know, that FSIS is  
11 currently using pathogenic sampling to determine  
12 PHRs, and that that may not be enough. The question  
13 is, is that enough data to be able to be the sole  
14 determinant for determining a PHR within their list  
15 of other regulations?

16 MR. PAYNE: Ms. Gapud.

17 MS. GAPUD: I think what Nancy is trying to  
18 say is about not just looking at the pathogens but  
19 also the high total plate counts. Sometimes there's  
20 no pathogen in there but the counts are so high,  
21 that could indicate some sanitation issue, and I  
22 think that's worth looking at. I think that's what

1 she wants to say.

2 MR. PAYNE: Dr. Shultz?

3 DR. SHULTZ: As I understand it, FSIS has  
4 authority to review plant records regarding standard  
5 plate counts, whatever, associated with sanitation,  
6 and basically determine whether or not the plant has  
7 acted appropriately in their sanitation standard  
8 operating procedures in response to whatever their  
9 findings were, but I don't know if that data,  
10 because we don't necessarily know the standards  
11 under which that testing was performed, we don't  
12 know whether and would that data therefore be of any  
13 value in a national database compared to data that's  
14 been delivered under a very strict standard.

15 MR. PAYNE: Ms. Buck?

16 MS. BUCK: I was wondering about the non-  
17 pathogenic as well. Would it be more appropriate to  
18 say perhaps microbial, you know, give a specific  
19 example as opposed to non-pathogenic. Microbial  
20 plate counts. I mean, I could see a value including  
21 that, but I just wonder about the term non-  
22 pathogenic.

1 MS. GAPUD: But I think it has value  
2 because what was said, you not always see the  
3 pathogen, but it indicates some unsanitary  
4 conditions in the plant when the total plate counts  
5 are so high.

6 MR. PAYNE: Dr. Marcy.

7 DR. MARCY: Yes, thank you. Just a follow  
8 up question for Chris related to the microbial data,  
9 the pathogen data. You know, you're not using that  
10 to select your public health regulations. You're  
11 using that to select the establishments by which to  
12 gather NRs for comparison, right?

13 MR. ALVARES: So just to clarify, we're  
14 using the pathogen testing data as a second cut for  
15 selecting the public health regulations. So the  
16 first cut is do these regs, you know, do  
17 noncompliances with these regulations indicate a  
18 loss of control relative to the four criteria that  
19 we had in Question 2.

20 And then we're trying to further narrow  
21 that down to say, can we tie them to quantitative  
22 outcomes like pathogen test results?

1           So the testing data is used in our PHR  
2 selection process.

3           Now when you go to apply the PHRs in our  
4 day-to-day or month-to-month operations, we aren't  
5 using the pathogen testing data there. All we're  
6 doing is calculating the NR rate, comparing it to  
7 the cut points and making decisions based on that.  
8 And that's a way of trying to identify an issue  
9 before maybe the pathogen test results, you know,  
10 result in recalls or outbreaks or things like that.

11           MR. PAYNE: Thank you, Mr. Alvares. We  
12 have a comment from Dr. Vetter.

13           DR. VETTER: I understand where Nancy was  
14 coming from with her initial comment during our  
15 discussions. Her concern was that in selecting the  
16 PHRs that maybe our sampling wasn't robust enough to  
17 use to do that, and that's where she said, are there  
18 other things that we could use?

19           Having said that, I would agree with Dr.  
20 Shultz in that it's not a standardized process  
21 within the industry on how that's done, collected  
22 and analyzed and also being able to gather and input

1 that into our system in a timely basis would be  
2 something that's just not practical at this point.

3 So I think that FSIS has taken what it has  
4 and done something good with that which is narrow  
5 the scope of the PHRs.

6 Then I think there's a separate thing  
7 that's being discussed which is what Dr. Gapud  
8 brought up, and we do look at the non-pathogenic or  
9 the other microbial results and they are indicators  
10 of sanitation, and that is part of what we're doing  
11 in daily inspection in the plants. So it's not that  
12 that's being ignored and not being used in that  
13 manner, but I don't think that it applies very well  
14 to this purpose.

15 MR. PAYNE: Thank you, Dr. Vetter.  
16 Dr. Tilden.

17 DR. TILDEN: So I think part of where we're  
18 coming from is, and we said right up front, that we  
19 think these are the appropriate indicators species  
20 for the purpose. So short-term, that's the answer.  
21 Long-term, we identified that we all want to get to  
22 the root cause of what creates positives, and to get

1 at that, it takes a deeper understanding of the  
2 dynamics of what's going on within the facility, and  
3 so from my standpoint, I thought the discussion was  
4 going that we wanted to encourage FSIS to look  
5 beyond just reacting to the positive, focus on the  
6 root cause dynamics and, you know, this can be part  
7 of the FSAs of what is contributing to that, what  
8 were the factors and then part of that would be  
9 looking at these other alternative data sources.

10 MR. PAYNE: Thank you, Dr. Tilden. Our  
11 next commenter is Mr. Winchester.

12 MR. WINCHESTER: After hearing some more  
13 comment, this entire second bullet actually comes to  
14 a question. How and/or when would a plant offer  
15 this data and how or could USDA input it into the  
16 system that they have and what relative value would  
17 it have if it wasn't created and/or generated in a  
18 systemic way that would help or derive the same  
19 outcomes?

20 I'm just trying to say, I don't know if  
21 asking for other information from a plant or from a  
22 facility and then say, well, we'll feed that into

1 USDA and they'll figure out how to analyze it and  
2 put it into their database so that we'll have more  
3 information, and to be non-pathogenic and to look at  
4 total plate counts or other things. I just don't  
5 know that this, one, could even be done, first off.  
6 I mean it's great to get that information I think if  
7 a plant is willing to share that, but I don't know  
8 how much they're willing to share that to go into a  
9 database that would recognize them as finding, hey,  
10 these are the results that we're finding in our own  
11 plant that USDA may or may not have observed.

12           So I'm just questioning this entire bullet.  
13 I don't know if anybody else has questions to that  
14 level or not, but I just -- I'm not sure that this  
15 can be done and maybe that's a question for the  
16 USDA.

17           MR. PAYNE: I see a response coming from  
18 Mr. Alvares coming here.

19           MR. ALVARES: I mean I think I understand  
20 the main point, the main theme that the Committee is  
21 trying to drive at which is to use as much data as  
22 possible in decision making.

1           I realize, and I agree that there are some  
2 real challenges with using establishment testing  
3 data, and I think the recommendation is purely  
4 focused on that, that it will be a challenge for the  
5 Agency. If it's a broader kind of recommendation to  
6 use data, for example, you know, serotype data that  
7 we get from ARS, then I get the point of let's get  
8 as much data as we can in a timely way, incorporate  
9 it and make decisions based on that. And it could  
10 mean, you know, test data from AMS or other types  
11 of, I mean there's a number of different sources  
12 where we can get testing data and maybe get it in a  
13 more timely way and utilize it.

14           If the recommendation is really just about  
15 plant data, you know, that takes it down to sort of  
16 a one thought process. If it's more broadly about  
17 utilizing a full scope of data, that probably takes  
18 us down a different process.

19           MR. PAYNE: Thank you, Mr. Alvares. A  
20 comment from Ms. Donley.

21           MS. DONLEY: I just remembered that when I  
22 originally brought this idea up of, you know, maybe

1 using total plate counts and things like that. It  
2 was that FSIS would be doing that within their  
3 sampling, and that that be used along with the  
4 pathogens. And then the discussion kind of veered  
5 into, well, the plants are doing all of their own,  
6 and so that's how my initial concept kind of got  
7 dropped.

8 MR. PAYNE: Thank you, Ms. Donley. Ms.  
9 Buck.

10 MS. BUCK: This is a question for the  
11 Subcommittee. Did you consider in your  
12 deliberations whether or not there should be a  
13 suggestion made to FSIS that when they do their  
14 analysis of the pathogen test results, that they  
15 have that analysis reviewed by an external group to  
16 ensure the quality of the analysis?

17 MS. KLEIN: I don't think we discussed  
18 that. Does anybody on the Subcommittee want to  
19 respond to that?

20 MR. PAYNE: Ms. Donley.

21 MS. DONLEY: Yeah, I guess and maybe, Pat,  
22 you can elaborate a little bit more, I guess I don't

1 see the point of that. I just think that that would  
2 just cause delay and I think FSIS has the scientific  
3 expertise to be able to do it. I just don't know if  
4 you can elaborate more. Am I missing something in  
5 your question?

6 MS. BUCK: I think in the past when we have  
7 looked at some of the information that FSIS has  
8 provided us, and it has happened even in the recent  
9 past, one of the questions that always has come up  
10 is why wasn't this reviewed by an external group.  
11 And I would think that Chris might have some input  
12 for us to why this is not done because most  
13 scientific efforts demand this type of external  
14 review.

15 MR. ALVARES: This is Chris. I guess  
16 there's certainly activities that we do that merit  
17 peer review, you know, risk assessments that drive  
18 policymaking, I think is one good example, and  
19 anything where we're commonly submitting articles  
20 for publication are peer reviewed.

21 We also do a fair amount of analysis that  
22 informs internal Agency decision and to submit each

1 and every one of those to a peer review process I  
2 think, and I'm just speaking personally but, you  
3 know, it would really kind of hamper the Agency's  
4 activity.

5           So I think a balance needs to be struck  
6 there, and I mean, you know, we're open to the peer  
7 review process and we're perfectly happy to do that  
8 either beforehand or even as, you know, a follow up  
9 so that we can continue to get feedback and improve  
10 the process.

11           MS. BUCK: Thank you. There may be some  
12 reports that come out of your pathogen test results  
13 that you very much would like to follow up with  
14 using an external to USDA FSIS peer review process.

15           MR. PAYNE: Thank you, Ms. Buck. And  
16 before we go to Mr. Waldrop, next is a time check.  
17 We have approximately 45 minutes to our scheduled  
18 public comment period, just to gauge our progress on  
19 getting to a consensus on this issue. Mr. Waldrop?

20           MR. WALDROP: Thanks. Going back to this  
21 bullet and going back to the difference between  
22 whether we're talking plant data or we're talking

1 Agency data, I would say that this is the second cut  
2 of the PHRs and as we suggest, FSIS will have 12  
3 months of data to put into that and help make that  
4 decision. So that could include the data, Chris,  
5 that you mentioned which again you may not get  
6 immediately but you have in that 12 month period,  
7 and that we should just make this broader so that  
8 FSIS could consider that type of data to inform that  
9 second cut. So they could also collect additional  
10 data, the plate count, FSIS could collect that if  
11 that seemed like something that could help inform  
12 their decision.

13 So I would say make this broad so that the  
14 Agency could bring in additional data that is  
15 relevant and useful to make this second cut.

16 MR. PAYNE: Thank you, Mr. Waldrop. And,  
17 Dr. Tilden, you're next in the queue.

18 DR. TILDEN: I agree with that, and again,  
19 I think we're all affirming that you're moving in  
20 the right direction, but you're going to be  
21 gathering data from these FSAs in the next year, and  
22 if you can standardize that and open it up so that

1 you're looking at a range of data sources, whether  
2 it's in-plant data that they've already got or stuff  
3 that you could generate, if you can build that in,  
4 then you're going to be that much better off, and  
5 that's why we went through it for Question 1 talking  
6 about better standardizing the investigation because  
7 there are intensive evaluations that will really  
8 help inform what are the in-plant dynamics that are  
9 contributing to these positives.

10 MS. KLEIN: So -- I'm sorry. Go ahead.

11 MR. PAYNE: Did you have a comment,  
12 Ms. Klein?

13 MS. KLEIN: No, I was just going to suggest  
14 that we look at whether we've captured any of what  
15 people are thinking in this language here.

16 MR. PAYNE: Let's take this opportunity to  
17 make sure we've captured everything thus far.

18 MS. KLEIN: I tried to make it bigger so  
19 that it would be easier to read up there.

20 FSIS' sampling program may not be robust  
21 enough to serve as the only data source underpinning  
22 this determination. The Agency should consider

1 including alternative testing data such as total  
2 plate counts in their decision making.

3 Wait. We're redundant here.

4 The Agency should consider including --  
5 should consider, how about, the Agency should  
6 consider gathering and accessing total plate count  
7 data, serotype data and other sources for use in  
8 data analysis, scheduling, enforcement, et cetera.

9 UNIDENTIFIED SPEAKER: Instead of sources,  
10 put data.

11 MS. KLEIN: And other data.

12 UNIDENTIFIED SPEAKER: It should be other  
13 sources of data and other data.

14 MS. BUCK: And other data.

15 MS. KLEIN: Other sources of data and other  
16 data.

17 MR. PAYNE: Mr. Warshawer, you want to  
18 recap that?

19 MR. WARSHAWER: There were two questions.  
20 One is external data and then other is additional  
21 data FSIS gathered, and those seem like two  
22 different conversations. I just wanted to be sure

1 that the position was finalized in the language.

2 MS. KLEIN: Right. I was trying to capture  
3 those two concepts in the phase gathering and  
4 accessing because that would suggest that either  
5 FSIS could decide that they need to start generating  
6 their own data from sampling of total plate counts,  
7 for example, but also accessing captures this idea  
8 that the Agency may decide that it's easier to work  
9 with industry to figure out a way to use the  
10 industry generated data or to work with ARS to use  
11 the serotype data. So that's what I was trying to  
12 do.

13 MR. PAYNE: Dr. Reinhard.

14 DR. REINHARD: I feel like I have to  
15 clarify because I feel like I know what the  
16 Subcommittee was saying, and what they said is that  
17 we have a limited source of data, and with that  
18 limited source of data, there is a potential that  
19 the decision of which regulations are PHRs could be  
20 totally wrong.

21 And as you continue to go forward and make  
22 improvements to the process, you should always look

1 to include more data from more sources and continue  
2 to expand the ability to be confident in the  
3 decisions being driven by the analysis.

4           And there is data available, and I think  
5 this now gets us to that point where we're saying  
6 there is data available that could be used, and  
7 we're not making any kind of assumptions of what  
8 would be of value, but it could be CDC outbreak  
9 data. It could be industry data. It could be  
10 serotype data, you know. I mean there's lots of  
11 data and you'll have to continue to work to drive it  
12 because there is that potential that the NRs and the  
13 PHRs with the limited dataset you have aren't  
14 driving to what your goal is.

15           I mean I vote we go to Question 4.

16           MR. PAYNE: How does the whole Committee  
17 feel about the language here?

18           MS. KLEIN: So what we've got now is FSIS'  
19 sampling program may not be robust enough to serve  
20 as the only data source underpinning this  
21 determination. As the Agency moves forward with the  
22 PHR analysis, it should seek to expand the pool of

1 available data to ensure confidence in the decisions  
2 being made. The Agency should consider, for  
3 example, gathering and accessing total plate count  
4 data, serotype data and other sources of data and  
5 other data for use in data analysis, scheduling,  
6 enforcement, et cetera.

7 UNIDENTIFIED SPEAKER: I'd get rid of other  
8 data. That seems to be pretty redundant.

9 MS. KLEIN: Really.

10 MR. PAYNE: Does this look good to the  
11 Committee?

12 MS. KLEIN: Okay.

13 MR. PAYNE: All right. Ms. Klein.

14 MS. KLEIN: Okay. So we've answered the  
15 three questions, and then we had some other kind of  
16 lingering orphan type issues that we wanted to deal  
17 with.

18 One of them was enforcement, and here we  
19 made a statement, the Committee acknowledges that  
20 there is a need for information gathering to improve  
21 the scientific basis of the program without limiting  
22 the capabilities of regulators to enforce current

1 regulations.

2 I think there was some discussion about  
3 improving that language, and the second bullet, we  
4 need to combine these two somehow. These are not  
5 distinct thoughts. So we need to work on this  
6 enforcement piece.

7 MR. PAYNE: And we have a comment from  
8 Dr. Tilden.

9 DR. TILDEN: The point here was that not  
10 every public health activity of importance could be  
11 captured by linking it to an increased microbial  
12 count on a test. For example, in-plant personnel  
13 may identify something that requires immediate  
14 action, and by effective enforcement in plant, they  
15 can correct the problem before it results in a  
16 positive test result.

17 So while the focus here is on a data driven  
18 system, it was important to recognize the in-plant  
19 activities that need to happen and prevent positive  
20 test results. And Sherika was helping us to make  
21 sure that we didn't lose that.

22 So it might be helpful to include the

1 comment that not every important public health  
2 activity can be linked to increased microbial  
3 levels.

4 MS. KLEIN: Okay. Hold one second. Not  
5 every --

6 DR. TILDEN: Not every important public  
7 health activity can be linked with their impact on  
8 microbial levels. And then, for example, in-plant  
9 personnel taking actions to address unsafe  
10 conditions in preventing increased microbial test  
11 results. Does that do it?

12 MR. PAYNE: Ms. Klein, if you would like to  
13 reread the revised statement for the Committee's  
14 approval.

15 MS. KLEIN: Yeah. It is the understanding  
16 of the Committee that not every important public  
17 health activity can be linked with its impact on  
18 microbial levels. For example, in-plant personnel  
19 may take action to address unsafe conditions and  
20 thus prevent increased microbial test results.

21 Thus, while the Committee acknowledges that  
22 there is a need for information gathering to improve

1 the scientific basis of the programs, such as by  
2 gathering and analyzing data, the capabilities of  
3 regulators to enforce current regulations should not  
4 be limited.

5 MR. PAYNE: Dr. Shultz?

6 DR. SHULTZ: I'm just not quite sure what  
7 the nuance is with data somehow or the availability  
8 of data or the use of data limiting regulators to  
9 enforce current regulations. I would think if you  
10 took an action on an unsafe condition in a plant, it  
11 would result in a NR and that NR could trigger the  
12 whole regulatory process anyway. So I'm just not  
13 sure how data would limit it.

14 MR. PAYNE: Mr. Warshawer has a response.

15 MR. WARSHAWER: I'm just guessing that, and  
16 Subcommittee members help me if I'm totally off,  
17 that we want to avoid creating a culture where we  
18 wait for the data as opposed to acting on what we  
19 see. Is it that simple?

20 And so we're saying the in-plant personnel  
21 will act on what they see to enforce the  
22 regulations, and the data will help them do so

1 rather than the reverse where we can't do anything  
2 because we didn't get the data yet.

3 Is that what the Committee was trying to  
4 deliver?

5 MR. PAYNE: Dr. Tilden.

6 DR. TILDEN: Yeah, I think one of the  
7 Subcommittee members brought up the point that some  
8 of the health regs that were taken off of the list  
9 could result in unsafe conditions. And so, you  
10 know, there's the potential that something that's  
11 not on the list could be of a signature public  
12 health concern, and the way we have discussed it  
13 was, yeah, that's why we have in-plant people there  
14 and we need to focus and target. And so it's just  
15 acknowledging that there are other conditions that  
16 need to be addressed by in-plant people.

17 MR. ALVARES: This is Chris. I think I'm  
18 interpreting the recommendation from the Committee  
19 very similar to Dr. Warshawer, in that, you know,  
20 this isn't intended for the inspector to now say,  
21 okay, I don't have to do anything until enough NRs  
22 have been -- if there's a significant enough issue,

1 they should issue the NR, they should take actions.  
2 If that leads to, for example, a NOIE, although not  
3 in the PHRs, a NOIE is one of the other decision  
4 criteria that can then lead to a FSA.

5           So if it's significant enough, I think it's  
6 still, you know, the inspector should be enabled to  
7 take those appropriate steps, and I think that even  
8 that process is incorporated into our overall  
9 decision making criteria.

10           MR. PAYNE: Ms. Harvey has a response.

11           MS. HARVEY: Yes. Dr. Tilden and I did  
12 have a revision to that section on enforcement,  
13 which would address the part about inspection  
14 personnel, in-plant conditions can be dynamic. IPP  
15 may observe conditions that may lead to  
16 contamination and need to address it immediately.  
17 That was one of the statements that we had in there  
18 as well and can be put back in there if the  
19 Committee --

20           MS. KLEIN: Sorry. Say the second part.  
21 Plant conditions may be dynamic.

22           MS. HARVEY: IPP may observe conditions

1 that may lead to contamination and need to be  
2 addressed immediately. We may need to add something  
3 else to that, but we definitely had that as well.

4 MR. PAYNE: Thank you, Ms. Harvey. And our  
5 next commenter is Dr. Vetter. And just a time  
6 check, we have approximately 30 minutes before our  
7 public comment period starts.

8 DR. VETTER: This is just my opinion, but  
9 this bullet, what we're doing here with the PHR regs  
10 doesn't affect in-plant inspection. It changes  
11 nothing. So all of the daily inspections steps, the  
12 observations that are made whether they're scheduled  
13 or unscheduled, all the noncompliances that will be  
14 seen, that will go on and continue regardless of any  
15 recommendation that the Committee makes regarding  
16 these PHR NRs.

17 So I personally don't think this is  
18 necessary because it's not changing, there's no  
19 changes to that daily inspection system and what's  
20 expected of IPP in-plant. That hasn't changed.

21 MR. PAYNE: Thank you, Dr. Vetter.

22 DR. RYBOLT: I was just going to echo that.

1 I mean, I was reading it as well, and in the  
2 presentation, I think there was some confusion and  
3 Danah hit on it, but I think there was confusion  
4 that this changed the activities of the inspector,  
5 and it doesn't. So this implies that it does.

6 So I agree. I don't know that this  
7 necessarily needs to be here. I do get the mis-  
8 confusion and the fear that it's going to change the  
9 inspection activities, but none of that's changing.

10 MR. ALVARES: That's correct.

11 DR. RYBOLT: The activities in-plant is  
12 what I'm saying, yeah.

13 MR. ALVARES: Yes.

14 MS. KLEIN: So I think in the interest of  
15 time, we should kind of come to a consensus about  
16 whether we want to include this and move on. So it  
17 seems like we've had a suggestion that we not  
18 include this information. Is there a compelling  
19 argument to leave it in?

20 MR. PAYNE: Ms. Harvey?

21 MS. HARVEY: I forget exactly how we came  
22 to that yesterday but obviously we were making our

1 points, and I just forget the direction we were in,  
2 but we all agreed upon that.

3 MR. PAYNE: Mr. Waldrop?

4 MR. WALDROP: It does seem though that that  
5 sentence that says it is the understanding of the  
6 Committee that not every important public health  
7 activity can be linked with its impact on microbial  
8 levels, sort of comes out of that discussion we had  
9 at the previous one, where we talk about how the  
10 sampling program may not be robust enough to serve  
11 the decision making.

12 So I like that sentence and that seems to  
13 flow from what we were discussing earlier. We may  
14 want to keep that sentence, put it up in the earlier  
15 paragraph but then the rest, I agree, it seems to  
16 just be restating what is already happening in the  
17 plants.

18 MR. PAYNE: Dr. Tilden?

19 DR. TILDEN: I'd go with what Danah says.

20 MS. KLEIN: Okay. Sorry. Where did you  
21 think that should go, Chris?

22 MR. WALDROP: Question 3, second bullet,

1 second sentence.

2 MS. KLEIN: Right here?

3 MR. WALDROP: Yes. Because I think that's  
4 what you're really trying to talk about.

5 MS. KLEIN: Okay. All right. So let's go  
6 back down. Okay. I wish we were doing like  
7 Robert's Rules here.

8 So the motion has been made to delete this  
9 section.

10 MR. WALDROP: Second.

11 MS. KLEIN: All right. Okay. And it's  
12 good we have some time left because this might take  
13 a while.

14 Okay. So this was an additional area that  
15 we thought was important to discuss about data.

16 Data should be available for IPP personnel  
17 whereby they can easily determine whether  
18 noncompliance is trending upward so that they can  
19 use PHR analyses to be proactive rather than  
20 reactive. Facilities should similarly be able to  
21 review that data so that they can react internally.  
22 Consideration should be taken to ensure that this

1 data does not lead to selectivity wherein compliance  
2 activity is calibrated to remain below the cut point  
3 or alternatively push above a cut point to trigger a  
4 FSA.

5           The second bullet, prior to implementing  
6 the data posting plan, the Agency should convene  
7 stakeholders to identify the message for sharing PHR  
8 data that serve the goal of rapid and effective risk  
9 mitigation and process transparency while remaining  
10 contextually appropriate and not providing  
11 disincentives.

12           Did a lawyer write this?

13           MR. PAYNE: So any comments on either of  
14 the two bullets in the data section? Ms. Buck?

15           MS. BUCK: This is Patricia Buck. Is this  
16 -- was it the intention or discussion within the  
17 Subcommittee that the data plan would be part of the  
18 larger strategic plan that USDA is putting together?  
19 It's the first time you mentioned a data plan. So I  
20 didn't know where, you know, can you give me some  
21 guidance as to what you were thinking?

22           MS. KLEIN: Does someone remember? I don't

1 remember where the data posting plan language came  
2 from, but I know that the intention of this section  
3 was to discuss whether there was a way to be sharing  
4 data more publicly.

5 DR. REINHARD: Chris presented the data  
6 posting plan earlier in the day, and so this just  
7 references that the PHR analysis results, what  
8 information comes out of it, flows into that data  
9 posting plan, and I think the Subcommittee was  
10 saying that FSIS needs to, before they rule it out  
11 in that data posting plan, make sure that they go  
12 through the process of making sure all the  
13 stakeholders are together on what should and  
14 shouldn't be in there and how it works.

15 MS. KLEIN: Yeah, I mean this was an  
16 extensive area of discussion in the Subcommittee,  
17 and I think we've fairly come to consensus on a  
18 number of other items today, but if there's ever an  
19 opportunity to use the some members of the Committee  
20 agree language, we may want to do that. Some  
21 members of the Subcommittee felt strongly that  
22 NACMPI should be making a statement that public

1 sharing of data is important. Notwithstanding that,  
2 the data needs to be contextualized and not provide  
3 a disincentive to companies for participating.

4           So we may wish to wordsmith this so that it  
5 says some members of the Committee or we may wish to  
6 try and reach consensus on it, but I'm just trying  
7 to give you a little bit more, that this was a  
8 lengthy discussion in the Subcommittee yesterday.  
9 And this is the last thing that we have to discuss I  
10 think before the thing, so we can massage this if we  
11 need to.

12           MR. PAYNE: Mr. Warshawer.

13           MR. WARSHAWER: Has there been any  
14 indication that data public posting is not  
15 considered beneficial? I'm just confused.

16           MS. KLEIN: Yeah, I think the discussion  
17 that we had yesterday, and maybe somebody can help  
18 refresh my memory was we were talking about real  
19 time trend data so that not just the in-plant  
20 personnel and the particular plant would be aware of  
21 what's happening, but that outside stakeholders  
22 could also say, wow, have you noticed that it seems

1 that that plant is going up on this issue. And we  
2 were talking about whether that data could be made  
3 publicly available, and if so, whether you'd have to  
4 make it anonymous or whether you'd have to, you  
5 know, what you'd have to do to clean the data  
6 sufficiently so that it wouldn't be gathered and  
7 aggregated and delivered to the public 6 months  
8 later, but that it would be fairly contemporaneous  
9 with what was going on visible to the Agency and the  
10 industry.

11 MS. DONLEY: And I'll just add to that,  
12 that we saw it as kind of very win, win situation  
13 for everybody, number one is that the inspectors can  
14 see, to say, uh-oh, is there a trend going this way  
15 in some other plants that maybe I need to be looking  
16 at that particular issue, and that, two, industry  
17 within industry, that they can be saying that, uh-  
18 oh, there are some plants that are dealing with more  
19 of these, you know, just kind of focusing in on  
20 what's trending out there, and then they can zero  
21 back to their own processes.

22 MR. PAYNE: Ms. Harvey, you had a comment.

1 MS. HARVEY: Actually there were a couple  
2 of members on the Committee that were for this, and  
3 the only concern I saw was inspectors and other  
4 officials within the Committee not having access to  
5 the information as Ms. Donley pointed out, but with  
6 access to certain information, we agreed that it can  
7 be dangerous. And I recommended that it be left up  
8 to the administrators.

9 MR. PAYNE: Thank you, Ms. Harvey.  
10 Dr. Reinhard.

11 DR. REINHARD: First, I appreciate Sarah's  
12 position and where she stands and why she states it.  
13 I think that based off the fact that this Committee  
14 actually dealt with that issue the last time we met,  
15 and those recommendations have been turned into the  
16 Department for them to go forward and work on, it  
17 isn't necessarily beneficial for us to go back  
18 through and re-say what was said before about either  
19 all or some of the Committee members' position on  
20 data sharing in and of itself.

21 So I think if this language, and we worked  
22 on this a little bit, is close enough for us just to

1 go ahead and move on, because I know the Agency's  
2 already working on last Committee's recommendations.  
3 I think that the point has been made for the record  
4 actually very well by all parties.

5 MR. PAYNE: Thank you, Dr. Reinhard.  
6 Ms. Buck?

7 MS. BUCK: This is Patricia Buck, and I  
8 would tend to agree with Bob. We did look at this  
9 issue the last time around. I think it's really  
10 important that we have access to all different types  
11 of data.

12 I think though when you're dealing with  
13 data, from what I understand, you have to have it in  
14 context and it certainly has to be in sync with the  
15 strategic plan that USDA has put out for improving  
16 its data collection, analysis, the whole shebang.

17 So if this language is acceptable and if  
18 the Subcommittee thinks it's important to draw more  
19 attention once again to the importance of data as we  
20 move forward with improvements in food safety and  
21 food safety inspection, I'm all for leaving it in  
22 there.

1           But, as Bob pointed out, we pretty much  
2 looked at this and we definitely need to have the  
3 stakeholder meeting that the recommendation is  
4 calling for. I mean that was suggested in the last  
5 comments from NACMPI, and we haven't heard anything.  
6 This comes back to that issue of the follow up is  
7 really important I think for this Committee.

8           MR. PAYNE: Thank you, Ms. Buck.

9           MS. KLEIN: I have a horse in this race.  
10 So I don't hear what the will of the Committee. Is  
11 it to leave it in as it is or take it out? I know I  
12 would vote to leave it in, but --

13           MR. PAYNE: Dr. Tilden.

14           DR. TILDEN: I think what I heard is it's  
15 okay as is, leave it in but let's not belabor the  
16 point because we spent a whole meeting on it before.

17           MS. KLEIN: Yes.

18           MR. PAYNE: Does this represent the  
19 consensus of the Committee? It shows affirmative.

20           MS. KLEIN: Great.

21           MS. BUCK: You might bold convene  
22 stakeholders.

1 MS. KLEIN: Right. Okay.

2 MS. WILLIAMS: Do you want to make all the  
3 corrections?

4 MS. KLEIN: I want highlighted the CDC,  
5 that one. Okay.

6 Do we need to scroll through this again and  
7 make sure that we're okay with everything?

8 MR. PAYNE: Just on final check for  
9 Committee consensus.

10 MS. KLEIN: Is this large enough? Do  
11 people want me to make it larger? Good.

12 MS. DONLEY: I'd just ask that FSIS,  
13 whoever it would please, before they print this out  
14 for everyone, insert the questions, the actual  
15 verbiage of the questions onto this document.

16 MR. PAYNE: The questions will be inserted.  
17 So we will have copies of this coming soon, of the  
18 Committee's final recommendation on issue number 2.

19 And we are at 2:00. So I'm looking to the  
20 Committee Chair, do we do a break or shall we start  
21 the public comment period?

22 UNIDENTIFIED SPEAKER: Break.

1 MR. PAYNE: Break. Break is the consensus.  
2 So resume at 2:15, 2:05, 2:05.

3 (Off the record at 2:05 p.m.)

4 (On the record at 2:12 p.m.)

5 MR. PAYNE: What we're doing now is for the  
6 last Subcommittee's recommendations, that the whole  
7 Committee had come to a consensus to, we've added  
8 the questions to that document. We will have that  
9 printed out, copies made before you leave.

10 So, Mr. Almanza, I think we can start our  
11 public comment period, and the last I checked, which  
12 was about a couple of minutes ago, we don't have  
13 anyone who signed up to make a comment.

14 Okay. We have Scott Goltry. You had  
15 signed up --

16 MR. GOLTRY: This morning.

17 MR. PAYNE: Okay. Mr. Goltry, feel free to  
18 come up.

19 MR. GOLTRY: I'll stand right here.

20 Hello, I'm Scott Goltry with the American  
21 Meat Institute. Thank you for allowing me to  
22 provide comments to the Committee.

1           My comments will focus on the Veal Sanitary  
2 Dressing Subcommittee.           We appreciate the  
3 realization by the Committee of their limited  
4 technical expertise related to veal processing.

5           I applaud this Subcommittee's request to  
6 have industry members participate and provide  
7 technical input that was pertinent and within the  
8 scope of the charge of the Committee.

9           In the future, if technical expertise  
10 outside of the Committee is needed, invitations  
11 should be made in order to aid in the Committee's  
12 deliberation.

13           Even though there is a perceived issue  
14 based on the limited data, there has not been a  
15 known outbreak related to veal. This could be  
16 related to the unique, intended use of veal  
17 products. Although based on new data that was  
18 requested by the industry, this data now highlights  
19 the differences between within the beef market  
20 class. AMI members which produce over 90 percent of  
21 the veal in the United States, continue to be  
22 engaged to continue the concerns of this data.

1 Thank you.

2 MR. PAYNE: Thank you, Mr. Goltry. Any  
3 other comments? The floor is open.

4 Any comments from the Committee members?

5 Mr. Almanza, I'm not seeing any comments,  
6 further comments. I'll turn the meeting over to  
7 you.

8 MR. ALMANZA: Okay. Thank you.

9 Okay. Well, I have some prepared remarks  
10 but before I go into those, I want to talk a little  
11 bit about the exchange that I saw here and just the  
12 importance of what this Committee does because some  
13 of the difficult discussions that you all were  
14 having are difficult discussions that we have in the  
15 Agency. I look over at Dr. Shultz there, and he's  
16 probably more familiar with that than most of the  
17 other Committee members and certainly I was watching  
18 just the body language between Dr. Shultz and Chris  
19 and they'd nod at the same time and shake their  
20 heads at the same time.

21 But, it's one of those things that when we  
22 set these charges out, we know the dynamics that are

1 involved, and so the things that you all bring, you  
2 know, it's kind of like light bulbs go off, and  
3 we're thinking, huh, well, yeah, that sounds like a  
4 good idea, and then the more you discuss it, you get  
5 to the point to where we were when we were  
6 discussing it within the Agency. And so I would say  
7 that this is extremely valuable, and how Dr. Hagen  
8 and I and certainly everyone that looks to this  
9 Committee for guidance, we thank you. We thank you  
10 for your participation because we know that you have  
11 lives. We know that while you're away from what  
12 you're doing, like your inbox is going to be just a  
13 little bit bigger when you get back to your office,  
14 and somebody isn't doing your work for you. So we  
15 appreciate that. We appreciate you taking the time  
16 to do this for us.

17           And as I said yesterday, these are  
18 difficult issues, and we don't expect everyone to  
19 agree with everything. In fact, if you did agree on  
20 everything, we'd think that there was something  
21 wrong with how we put the Committee together.

22           And so we asked you to have an open and

1 honest discussion, and by all accounts, at least  
2 what Phil tells me, that happened, and sometimes the  
3 discussions like while I was here, can be just as  
4 important as the recommendations that you make  
5 because everything that's said here is captured and  
6 that is relevant to the decisions that we make going  
7 forward.

8           I hope the process has allowed you to come  
9 to a better understanding of these issues. These  
10 problems aren't going to be solved overnight, and  
11 we're going to continue to grapple with them. Some  
12 of them may be revisited in future NACMPI meetings,  
13 and it's important that we're all on the same page,  
14 so that we continue to have a well informed  
15 discussion.

16           So it's through this discussion and the  
17 debate that we really hash some of these things out,  
18 and I hope that you all found this to be beneficial  
19 as we do.

20           What will happen next is my team and I will  
21 review the recommendations. Taking a quick look at  
22 them, I think there are some very constructive

1 suggestions. Some of the research on pre-harvest  
2 risk in veal, building on existing partnerships,  
3 developing clear, concise guidance material for  
4 small establishments, using data to fully realize  
5 the goals of HACCP and making sure that data is  
6 transferred in a timely manner so that it's most  
7 useful.

8           And, that was really one of the things that  
9 struck me in the discussion that you all were having  
10 about data, and the different data sources and the  
11 different data streams and how the challenges that  
12 we have and how we can utilize that in a way that is  
13 uniform and consistent and that means something to  
14 or the same thing to everyone because I can tell  
15 you, I mean you go in some of these plants and some  
16 plants are very good after the amount of testing  
17 they do, about the data that they keep, and then you  
18 have another extreme where they just don't have a  
19 lot of data, some of them for very good reasons.  
20 Some of them just can't afford it. They're very  
21 small plants, but there's some that when they know  
22 that we're going to be looking at their data, they

1 just don't test. So we have everything in between,  
2 right.

3           And so it was interesting, the discussion  
4 that you all were going, well, here you have this  
5 but we need that data but how do you know that the  
6 data that Plant A and Plant B and Plant C are  
7 generating is going to be able to be captured in a  
8 uniform way for us to be able to do anything with so  
9 that it means something to each one of you or what  
10 you want it to mean to you in a way that protects  
11 public health and is really aimed at food safety.

12           So very, very good discussions. I'm really  
13 pleased that I heard that.

14           So in closing the meeting out, I want to  
15 thank you again. I think we've made some real  
16 progress here, and I look forward to building on  
17 this in the future.

18           So on behalf of Dr. Hagen and I, thank you  
19 all.

20           (Whereupon, at 2:21 p.m. the meeting was  
21 concluded.)

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

C-E-R-T-I-F-I-C-A-T-E

This is to certify that the attached  
proceedings in the matter of:

NATIONAL ADVISORY COMMITTEE ON  
MEAT AND POULTRY INSPECTION

PLENARY SESSION

Washington, D.C.

January 17, 2013

were held as herein appears, and that this is the  
original transcription thereof for the files of the  
United States Department of Agriculture, Food Safety  
and Inspection Service.

-----  
TIMOTHY J. ATKINSON, JR., Reporter  
FREE STATE REPORTING, INC.